

PATIENT-CENTERED OUTCOMES RESEARCH INSTITUTE

BOARD OF GOVERNORS MEETING

Tuesday, March 7, 2023

Washington, D.C.

[Transcribed from the PCORI webinar.]

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

A P P E A R A N C E S

BOARD OF GOVERNORS

RUSSELL M. HOWERTON, MD, FACS [Chairperson]
JENNIFER DEVOE, MD, MPHIL, MCR, DPHIL, FAAFP
KARA AYERS, PHD
KATE BERRY
CHRISTOPHER BOONE, PHD
RYAN BRADLEY, ND, MPH
ALICIA FERNANDEZ, MD
CHRISTOPHER FRIESE, PHD, RN, AOCN, FAAN
ZOHER GHOGAWALA, MD, FACS
MICHAEL HERNDON, DO
CONNIE HWANG, MD, MPH
BARBARA J. MCNEIL, MD, PHD
DEBBIE PEIKES, PHD, MPA
KIMBERLY RICHARDSON, MA
JAMES SCHUSTER, MD, MBA
KATHLEEN TROEGER, MPH
ROBERT OTTO VALDEZ, PHD, MHSA
DANNY VAN LEEUWEN, MPH, RN
CHRISTOPHER L. WHITE, ESQUIRE

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

AGENDA

	<u>Page</u>
Welcome, Call to Order Russell Howerton, MD, Board Chairperson	5
Roll Call	5
Consider for Approval:	
Minutes of February 14, 2023 Board of Governors Meeting	9
Executive Director's Report Nakela L. Cook, MD, MPH Executive Director	10
Consider for Approval:	
Methodology Committee Appointments	
James Schuster, MD, MBA Chair, Nominating Committee for Members of the Methodology Committee	47
Harv I. Feldman, MD, MSCE, MEd Vice Chair, Nominating Committee for Members of the Methodology Committee	49
VOTE	73
Strategy Discussion:	
Commitment Planning 2023 and Beyond	
James Schuster, MD, MBA Chair, Finance and Administration Committee	75
Brian Trent, MPA Deputy Executive Director for Operations	77
RECESS	97

AGENDA [continued]

	<u>Page</u>
Strategy Discussion:	
Update on Strategies to Leverage PCORnet® to Advance PCORI's National Priorities for Health and Evaluate PCORnet Performance	
Erin Holve, PhD, MPH, MPP Chief Research Infrastructure Officer	99/106
Laura Forsythe, PhD, MPH Director, Evaluation and Analysis	103
Consider for Approval:	
Commitment of PCORnet Infrastructure Funding	157
VOTE	158
LUNCH	159
Strategy Discussion:	
Advancing Our Topic Themes and Award Portfolio	
Harv I. Feldman, MD, MSCE, MEd Deputy Executive Director for Patient-Centered Research Programs	160
Tracy Wang, MD, MHS, MSc Chief, Comparative Clinical Effectiveness Research	165/229
Greg Martin, Acting Chief, Engagement and Dissemination	197
Public Comment	252
Closing Remarks	
Nakela L. Cook, MD, MPH, Executive Director	252
Wrap up and Adjournment	
Russell M. Howerton, MD Board Chairperson	256

P R O C E E D I N G S

[9:00 a.m. EST]

1
2
3 CHAIRMAN HOWERTON: Welcome to the March
4 7th, 2023, PCORI Board of Governor's meeting. I'm
5 Russ Howerton, Chairperson, and I'd like to extend a
6 welcome to all who have joined us today.

7 In the spirit of our new governance
8 framework, we have a busy agenda today discussing
9 multiple issues centered around strategy, including
10 appointments to our Methodology Committee,
11 information about our funding plan, and updates on
12 both how we might use PCORnet to increase PCORI
13 research, as well as advancing our themes for
14 research.

15 We look forward to a busy day and welcome
16 you to that day.

17 I would like to ask Maureen, if you can
18 call roll for us now, please.

19 MS. THOMPSON: Of course, thank you. Kara
20 Ayers.

21 DR. AYERS: Present.

22 MS. THOMPSON: Kate Berry.

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 MS. BERRY: Present.

2 MS. THOMPSON: Chris Boone.

3 DR. BOONE: Present.

4 MS. THOMPSON: Ryan Bradley.

5 DR. BRADLEY: Present.

6 MS. THOMPSON: Jen DeVoe.

7 DR. DeVOE: Present

8 MS. THOMPSON: Alicia Fernandez.

9 DR. FERNANDEZ: Present.

10 MS. THOMPSON: Chris Friese.

11 DR. FRIESE: Present.

12 MS. THOMPSON: Zo Ghogawala.

13 DR. GHOGAWALA: Present.

14 MS. THOMPSON: Mike Herndon.

15 DR. HERNDON: Present.

16 MS. THOMPSON: Russ Howerton.

17 CHAIRMAN HOWERTON: Present.

18 MS. THOMPSON: Jim Huffman.

19 [No response.]

20 MS. THOMPSON: Connie Hwang.

21 DR. HWANG: Present.

22 MS. THOMPSON: Mike Lauer, designee for the

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 NIH Director.

2 [No response.]

3 MS. THOMPSON: Barbara McNeil.

4 DR. McNEIL: I'm here.

5 MS. THOMPSON: Debbie Peikes.

6 [No response.]

7 MS. THOMPSON: Eboni Price-Haywood.

8 [No response.]

9 MS. THOMPSON: Kimberly Richardson.

10 MS. RICHARDSON: Present.

11 MS. THOMPSON: James Schuster.

12 DR. SCHUSTER: Present.

13 MS. THOMPSON: Kathleen Troeger.

14 MS. TROEGER: Present.

15 MS. THOMPSON: Bob Valdez, AHRQ Director.

16 DR. VALDEZ: Present.

17 MS. THOMPSON: Danny Van Lewin.

18 MR. VAN LEEUWEN: Present.

19 MS. THOMPSON: Chris White.

20 MR. WHITE: Present.

21 MS. THOMPSON: Janet Woodcock.

22 [No response.]

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 MS. THOMPSON: Dr. Howerton, you have a
2 quorum.

3 CHAIRMAN HOWERTON: Thank you.

4 DR. McNEIL: I'm here, I'm not sure if you
5 heard. It's Barbara.

6 CHAIRMAN HOWERTON: Thank you, Barbara, I
7 think we had you. Thank you very much.

8 If the Board will deliberate or act on a
9 matter that presents a conflict of interest for you,
10 please recuse yourself or inform me if you have any
11 questions.

12 If you have questions about disclosures or
13 recusals relating to you or others, please contact
14 your staff representative, Maureen.

15 Today's meeting is being recorded. The
16 agenda for today's meeting, along with the approved
17 minutes from the Board's prior meeting and an
18 archived webinar, will be posted on PCORI's website
19 within a week.

20 Board Members please remember to raise your
21 hand turn your tent card over if you are in the room
22 and you wish to speak and identify yourself before

1 making a comment or making a motion. You may also
2 indicate that you have a question by sending a chat
3 to Maureen Thompson who will add you to the queue if
4 you were online.

5 You have in front of you the meeting agenda
6 and as mentioned earlier, we have many substantive
7 issues to speak of today.

8 I would like to carry the first agenda item
9 forward now and bring to your attention the minutes
10 of the February 14th, 2023 meeting. We do ask that
11 anyone not in the room, keep us informed of their
12 attendance if they are leaving the meeting so we can
13 keep track for our voice votes.

14 Does anyone have any additions,
15 subtractions, corrections to the minutes of the
16 February 14th meeting?

17 [No response.]

18 CHAIRMAN HOWERTON: If there are none,
19 could I hear a motion to approve the minutes?

20 DR. McNEIL: So moved.

21 DR. VALDEZ: I make --

22 CHAIRMAN HOWERTON: So I think we have

1 Robert and Barbara as first and second.

2 Any further discussion?

3 [No response.]

4 CHAIRMAN HOWERTON: All those in favor say
5 aye.

6 [Ayes.]

7 CHAIRMAN HOWERTON: Any in opposition or
8 abstention?

9 [No response.]

10 CHAIRMAN HOWERTON: I believe at this
11 point, Nakela, I will turn it over to you for the
12 Executive Director's report.

13 DR. COOK: Thank you so much Russ, and good
14 morning, everyone. It is my pleasure to present the
15 Executive Director's report today, which really has
16 two major areas of focus and update on our funding
17 activities from fiscal year 2022, with an example of
18 a recent outcome and also our funding solicitations,
19 which are ongoing for fiscal year 2023. And I'll
20 conclude by just giving you a high of a recent
21 PCORI-funded research result that I thought would be
22 interesting for all of you.

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 And following the Executive Director's
2 report today, you're going to hear from several of
3 my colleagues to tee up several important strategic
4 discussions focused on our long-range commitment
5 planning and our advancement of our portfolio and
6 topic themes in three major areas related to
7 cardiovascular health, sleep health, and maternal
8 morbidity and mortality, as well as PCORnet.

9 So we'll have a very rich discussion over
10 the course of the day.

11 Why don't we go ahead to the next slide.

12 I believe there should be another slide
13 before this one, if you don't mind going back one.
14 Excellent.

15 So in fiscal year 2022, we focused on
16 posting several funding opportunity announcements to
17 continue our efforts to increase funding in our
18 early years of our commitment plan model, and to
19 accelerate progress really on our mission, and we
20 posted, as you can see here, 33 different funding
21 announcements in fiscal year 2022.

22 And the largest number of these were for

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 our Dissemination and Implementation Awards,
2 reflective of our efforts to really recognize the
3 increasing results that are becoming available from
4 PCORI-funded studies and innovative strategies to
5 work with partners to bring PCORI evidence into
6 practice where it can really be used.

7 We also offered our newly combined Broad
8 Pragmatic Studies Funding Announcement, and the
9 Improving Methods Funding Announcement. Three times
10 or each cycle, during the fiscal year, there were
11 several Engagement Awards Funding Announcements this
12 past year. You can see seven here.

13 And we also had within that, in an addition
14 of a new type of engagement award, one focused on
15 building capacity for small organizations. It's
16 really an innovative new engagement award that was
17 designed to help community organizations develop the
18 capacity that may be needed for patient-centered
19 clinical comparative effectiveness research.

20 You can see that the focused research
21 topics and the funding announcements related to
22 those stemming from prior Board-approved topics to

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 advance, as well as included several that addressed
2 the topic themes that were approved by the Board
3 last year, including improving mental health in
4 individuals with intellectual and developmental
5 disabilities; healthy aging, as you can see here;
6 and improving postpartum maternal outcomes.

7 So these PFAs will produce applications and
8 awards that translate into fiscal year 2023
9 commitments, and in our upcoming discussion on the
10 commitment plan, you'll see our first quarter
11 commitments for fiscal year 2023 and how those
12 compares to previous years, but those commitments
13 release stem from these announcements that were
14 placed in 2022.

15 Why don't we go ahead to the next slide.

16 And I just wanted to highlight one of the
17 Innovative Initiatives that was embedded in those
18 2022 funding opportunities, and that's the Health
19 Systems Implementation Initiative. Many of you have
20 heard of the acronym HSII, and it relates to this
21 initiative, and we really think this is a
22 groundbreaking initiative. It's got the potential

1 to greatly reduce the lag time from the generation
2 of evidence to the results being up taken in
3 clinical practice and the participating health
4 systems within the initiative. But we also hope to
5 see a movement beyond these participating health
6 systems as we move this initiative forward.

7 It's really designed to leverage the
8 expertise and the enthusiasm of the different health
9 systems to implement change in their systems and
10 their communities through implementing practice
11 changing results from PCORI-funded studies.

12 Earlier this month, we had the pleasure of
13 doing a special media briefing and announced the 42
14 selected participants that you can see here on this
15 slide. Congratulations to all the participants.
16 This is really a remarkable showing of a different
17 and variety of array of health systems across the
18 country. So we're really proud to have all involved
19 in making this initiative come to reality and we
20 look forward to seeing the promise really, that it
21 holds in watching it unfold over the coming years.

22 Let's go to the next slide.

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 So, as I mentioned, the diversity of the
2 group awarded in the Health Systems Implementation
3 Initiative is really remarkable.

4 The systems all over the country come from
5 large and small size health systems, rural and urban
6 systems, across many different settings and
7 different patient populations, and there are 42
8 systems that'll participate in this initiative. And
9 you can see 41 states, plus the District of Columbia
10 are represented. More than 800 hospitals, over
11 145,000 hospital beds. Over 6,400 primary care
12 locations serving over 80 million unique patients.
13 Nearly one in four people in the United States.

14 So what comes next in the Phase 2 of this
15 initiative?

16 We're starting to get that component
17 underway and that'll begin with a Learning Network
18 meeting of all of those systems next week. So
19 there's a lot of planning going on at PCORI for
20 that, and that's followed by the opportunity for the
21 participating health systems to apply for funding
22 for capacity building projects, which will really

1 help them develop the type of infrastructure that's
2 needed and refine their expertise to conduct
3 implementation projects. And we anticipate offering
4 about \$500,000 or up to \$500,000 for each health
5 system's capacity building activities.

6 And then Phase 3 kicks off.

7 In phase three, that's where the systems
8 will propose the actual implementation projects to
9 work on the implementation of results from PCORI-
10 funded research. We anticipate those projects to be
11 somewhere between \$500,000 and \$5 million dependent
12 upon the project and the scale and scope.

13 So really in the longer term, our aim is
14 for these successful projects to be scaled up, both
15 at these health systems and then beyond. So
16 hopefully, you're as excited as I am to follow this
17 initiative along. We can go to our next slide.

18 So looking ahead, and I'm talking about
19 2023, we expect really to sustain the high level of
20 solicitations that we were able to issue in 2022,
21 posting at least 33 different funding announcements
22 this year with additional ones that may stem from

1 some of the topic and discussions that we are
2 planning to have with the Board. Many that you see
3 on this slide will be familiar with our Broad
4 Pragmatic Studies, the Improving Methods, Science of
5 Engagement Initiative that we are planning to offer
6 three times every cycle this year, along with nine
7 Dissemination and Implementation Funding
8 Announcements.

9 One notable change that you'll see here is
10 that the Phased Large Awards for Comparative
11 Effectiveness Research, or PLACER, opportunity will
12 be offered twice this year. And we're anticipating
13 being able to offer that range of smaller-to-large
14 funding opportunities every cycle moving forward.

15 So the PLACER program, you know, has been
16 particularly noted by staff, as well as the
17 Selection Committee and the Board, to produce the
18 types of applications on topics of great interest to
19 our mission. So we're really excited to be able to
20 offer that more.

21 We also have two opportunities as you can
22 see here related to the Health Systems

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 Implementation Initiative. This is for the Capacity
2 Building Awards and for the Implementation Awards
3 that I just described.

4 You'll also note in the 2023 opportunities
5 here, the Partner PFA, it's the last one on the
6 bottom there, Partnering Research and Community
7 Organizations, to address the systems factors as
8 well as social determinants of maternal health.

9 And this is an innovative new opportunity
10 as well that really doubles down on our commitments
11 in several ways. It uniquely positions community
12 organizations as full partners and co-leaders of the
13 projects alongside research organizations, and it
14 addresses both the clinical factors and social
15 determinants of health, which are key focus areas in
16 our strategic plan. And it clearly aligns as well
17 with our National Priority for Health to achieve
18 health equity, as well as the key research topic of
19 maternal health that we will be talking about even
20 more later today.

21 So it's notable that this announcement also
22 talks about opportunities to address maternal

1 morbidity and mortality in a way that really starts
2 to understand the complexity of the factors at play.

3 Through all of these opportunities that you can
4 see on the slide, we're really building toward the
5 funding commitments that we're going to make in
6 fiscal year 2024, and that the Board will see in
7 2024. So again, the 2023 solicitations lead to the
8 commitments that you see in 2024. Let's go to the
9 next slide.

10 I also just wanted to draw your attention
11 to some additional ways that we are continuing to
12 make progress on priorities in our strategic plan as
13 well. You know, for eligible funded projects that
14 are in an appropriate stage, we're offering
15 supplemental funding to increase diversity and/or
16 health equity impact in PCORI-funded CER, as well as
17 to improve the understanding of patient-centered
18 economic outcomes. And the goal, really of the
19 health equity and diversity supplement, is to
20 increase the diversity and representativeness of
21 study populations in our PCORI-funded studies, and
22 part of our efforts to make progress toward national

1 priority on achieving health equity.

2 And the second supplement that you see here
3 on the right around patient-centered economic
4 outcomes, is really designed to help advance our
5 understanding of costs and other economic burdens to
6 patients and caregivers and other stakeholders in
7 eligible funded awards, supporting our focus on the
8 full range of outcomes and patient-centered
9 outcomes.

10 And we recognize that generating this type
11 of evidence on potential burdens and economic
12 impacts of health conditions or interventions will
13 help support patients and others in making the
14 informed healthcare decisions that take these
15 impacts into account.

16 So for both of these types of supplements
17 will be notifying those eligible funded awardees of
18 the opportunity to augment their current projects to
19 generate this type of evidence related to diversity
20 and health equity, as well as patient centered
21 economic outcomes.

22 And they'll provide us as well with another

1 opportunity or tool to continue to make progress on
2 these PCORI priorities related to these two key
3 areas while certain studies are underway. And so,
4 we're excited about that. Let's go ahead to the
5 next slide.

6 So I did want to just give you a kind of
7 summary look at the status of our research awards to
8 date. And PCORI has now made about 813 total
9 research awards, including our comparative clinical
10 effectiveness research and methods projects, and
11 systematic evidence reviews. And about 480 of
12 these, or 481 of these have been completed, and
13 another 332 are in progress with 39 that are
14 currently in peer review.

15 So having nearly 500 completed projects is
16 something really to celebrate at PCORI, and this
17 growing pool of projects allows us to analyze the
18 portfolio in different ways and as you'll see, even
19 when we talk about the portfolio presentations today
20 and the discussions that are being teed up, and you
21 saw some of that even at the previous Board
22 meetings, so it really provides a richness for us to

1 incorporate in our discussions.

2 I'm just going to pause and just check if
3 you're logged into the webinar, and you don't mind
4 making sure to mute your line, if you're here in the
5 room. We just have a little feedback. Great.

6 Let me just try that again. Okay, good.
7 It seems clear now.

8 So in addition to this growing portfolio,
9 we also have dissemination and implementation
10 projects that are continuing to develop with 13 new
11 projects now complete and about 36 that are ongoing,
12 and we're continuing to see that growth in that
13 portfolio as well.

14 Why don't we go ahead to the next slide.

15 So I just wanted to conclude by
16 highlighting a particular study with recent results
17 just to keep all of you apprised of the type of
18 activity we see coming out of the PCORI portfolio.
19 And this is the Prevent Clot study that compared
20 aspirin and low molecular weight heparin for
21 patients receiving treatment at a trauma center for
22 long bone or pelvic fractures.

1 And you can see that the trial was
2 conducted at about 21 different trauma centers with
3 more than 12,000 patients. And the primary outcome
4 was a 90-day all-cause mortality, but secondary
5 outcomes included non-fatal pulmonary embolism, deep
6 venous thrombosis, and bleeding complications. And
7 aspirin was found in this trial to be non-inferior
8 to low molecular weight heparin in preventing death
9 from any cause, and secondary outcomes were really
10 comparable across the groups, although there were
11 fewer blood clots in the deep veins of the lower
12 legs noted in the low molecular weight heparin
13 group.

14 So, you know, aspirin's easier to take than
15 subcutaneous low molecular weight heparin and it's
16 generally cheaper. And so, this was really a
17 landmark study because it really can change the
18 standard of care.

19 And while the findings were presented in or
20 published in the *New England Journal of Medicine*,
21 and you can see the type of attention that this
22 study has garnered already, being in the top 5

1 percent of all research outputs scored by Altmetric
2 for the journal.

3 We are also seeing changes in clinical
4 practice tools, such as UptoDate, where two of the
5 UptoDate pages have already been changed to uptake
6 the evidence here that has been generated by this
7 study on the prevention of venous thromboembolism in
8 adults, and you know, those updates say that aspirin
9 can be an alternative to low molecular weight
10 heparin in select low-risk patients who undergo
11 surgery for lower extremity trauma.

12 So this is a real success story of PCORI-
13 funded research that is moving to that place of
14 really influencing clinical practice and has the
15 opportunity to change standard of care. I've noted,
16 it also aligns with our improving cardiovascular
17 health theme which is nice to note as well.

18 So that's really all I had for you today,
19 just a little bit of a tour of where we are with our
20 funding opportunities as well as some innovative
21 initiatives we're excited about and closing on this
22 wonderful research result.

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 CHAIRMAN HOWERTON: Thank you very much,
2 Nakela. Let me open the floor to discussion.

3 Please Zo.

4 DR. GHOGAWALA: Nakela, thank you for that
5 overview, which I think is terrific and highlights
6 some of the great strengths of PCORI.

7 One of the questions that I had was on this
8 implementation initiative. How were the 42 systems
9 selected by PCORI?

10 DR. COOK: That's a great question. And
11 you know, we have when we put out a funding
12 announcement review criteria that we put together,
13 but as you can tell, there was really an emphasis on
14 making sure there was a breadth of diversity of
15 health systems involved. And really the capacity to
16 work in a space of implementation within the health
17 system with the dedicated leadership that's really
18 needed to make system-level change at such a health
19 system.

20 So we really do have remarkable leadership
21 of health systems leading these initiatives and that
22 was part of the review criteria as well.

1 CHAIRMAN HOWERTON: Thank you. I think
2 it's James, and then Connie. Am I correct in the
3 order?

4 DR. SCHUSTER: Yeah, so Nakela thank you
5 for that overview. Lots of highlights to be proud
6 of for sure.

7 One question I had, and it's possible we
8 talked about this before in some contexts or you all
9 have already implemented. I missed it.

10 But, you know, you talked about the health
11 equity supplements that are available and I wondered
12 if we've thought about the idea of making that a
13 requirement in all of our work going forward for
14 investigators to address whenever possible, you
15 know, within the context of what they're doing?

16 DR. COOK: Thanks so much, James. And I
17 think these are great points.

18 One of the things that we really pride
19 ourselves on with PCORI's learning from the
20 experiences that we move forward with. And so, we
21 think there's going to be a great opportunity to
22 learn from how these studies are able to incorporate

1 some of these priorities, which may help inform how
2 we would potentially alter, even the activities that
3 we outline in funding announcements in the future.
4 So it kind of provides this opportunity to catch up
5 with some funded awards as we start to learn enough
6 to plan for how we can move that forward in future
7 awards.

8 So it's a great point that you raise.

9 CHAIRMAN HOWERTON: Thank you. Connie.

10 DR. HWANG: Great, thanks.

11 So one is a comment, then the second is a
12 sort of related question to James' area, but I'm so
13 excited about the HSII initiative.

14 I just remember you know, certainly with
15 Mike here, too, but like two years ago when it was
16 really just a concept. So the roster is fantastic
17 and I'm excited to hear updates on that as it moves
18 forward. So that's my first comment.

19 The second again, relates to what James was
20 saying about the supplemental funding. So great to
21 see the opportunity for both health equity as well
22 as the economic impact. Something that we've been

1 talking about, a flexibility that really, I think
2 PCORI can lean into as we think about value in
3 healthcare and sort of opportunities that way.

4 And so, I would love for us as a board to
5 see that tracking of how often that is going to be
6 utilized, that supplemental space. And just want to
7 get a sense, too, of what do you consider to be a
8 target you want to aim for in terms of usage of that
9 supplemental funding? So, I know James is making it
10 automatic, but I'm just curious where PCORI is
11 currently thinking.

12 You know, what are we aiming for in terms
13 of the uptake of that? Because those areas are so
14 critical.

15 DR. COOK: Well, we have that opportunity
16 embedded in our funding announcements moving
17 forward, and we have in the past as well, and really
18 what we recognize is that the awardees may need
19 something additional in order to really help us to
20 achieve the outcomes of that priority.

21 And so, that's really what we were
22 intending to do with the supplement as I understand

1 a little bit more about what's necessary in order to
2 start to help with that type of data collection.

3 So I think the goal is that for those that
4 are eligible, and we really are looking at the stage
5 they are in their project, et cetera, that kind of
6 helps with understanding that eligibility; that if
7 they're able to put together something that is
8 feasible that we really can learn from, et cetera,
9 that we would want to be able to support those. So
10 we anticipate for those that are eligible, that we
11 may get the majority of them really focused in on
12 these types of supplements.

13 DR. HWANG: That's great. I love where we
14 can aim for something where you say it's actually
15 more the exception that researchers are not taking
16 advantage of this.

17 It'd be wonderful to sort of set a bar that
18 is that high. So thank you.

19 DR. COOK: Thank you.

20 CHAIRMAN HOWERTON: Thank you. Before we
21 go to Ryan, could I ask if we're having discussion,
22 could you take the slides down so that people online

1 will have more visualization of us? And then I
2 think it is you next, is that Ryan?

3 DR. BRADLEY: So thank you for, again, for
4 that exciting report, HSII is just so impressive and
5 has amazing potential.

6 I'm curious about overlap between the
7 organizations in HSII and members of PCORnet, and if
8 there might be future opportunities. I can see some
9 in inherent potential efficiencies by improving the
10 overlap between those two initiatives.

11 DR. COOK: Well, you know, one of the
12 things that we hoped we would have with the Learning
13 Network is that opportunity for communication and
14 learning across the awardees and there may be
15 opportunities for us to also think about how we
16 included some related to PCORnet that may not be in
17 the HSII activities.

18 I think the Learning Network really could
19 offer that opportunity for that type of exchange and
20 dialogue. So I appreciate that comment because
21 perhaps that's something we can consider
22 facilitating internally.

1 CHAIRMAN HOWERTON: Before we go to Danny,
2 I would like to interject just a personal -- I'm a
3 visual display of information curious person, and it
4 does strike me that we need a geo map of the HSIIs
5 with like circles that connote how many patients
6 they have. And we probably need a corresponding geo
7 map graphic of the PCORnet institutions just to
8 convey to external audiences and ourselves, perhaps,
9 what it looks like.

10 The thing you mentioned of a beautiful
11 symbiosis of a research engine spread across the
12 nation and then an implementation engine spread
13 across the nation would strike me as a key attribute
14 of the PCORI in the future.

15 Anyhow, personal bias. Danny, thank you.

16 MR. VAN LEEUWEN: I'll continue with the
17 implementation theme.

18 So I really appreciate that we've gotten
19 this far in focusing on implementation because
20 research without implementation is ink on paper, and
21 like who actually implements -- it's a partnership
22 between doctors, nurses, patients, caregivers are

1 the people that actually implement stuff.

2 And so my question is like how -- this is a
3 systems-oriented focus right now. And so, how does
4 that partnership fit in how we select organizations
5 and how is this going to evolve that we, you know,
6 focus on this partnership, in addition to systems?

7 DR. COOK: I think, Danny, one of the
8 things that we recognize that health systems will
9 need to do is organize within the system in terms of
10 how they've moved forward an implementation project.
11 And one of the reasons we thought it was so critical
12 to have health systems leaders as part of this
13 initiative because they really do have to help think
14 about within their system.

15 They know their structure, their staffing,
16 their communities the best, and we really are
17 looking forward to them leveraging, kind of the
18 expertise they have within the system to address
19 some of the implementation challenges.

20 So I think we will see those types of
21 partnerships emerge across system leadership,
22 clinicians, and even with patients and others, in

1 terms of thinking about the right models for their
2 communities that they serve. And I think we'll have
3 a different array of approaches within the
4 initiative as well.

5 We have the fortune as part of the media
6 briefing to hear from a couple of the systems that
7 are participating and some that are safety net
8 systems that do this incredibly well in terms of
9 crossing different levels and kind of tiers of a
10 very complex system. And so, I imagine those are
11 the types of things they will bring to bear on some
12 of these projects, and we're excited about that.

13 CHAIRMAN HOWERTON: Danny, thank you very
14 much.

15 And actually, as I think about our visual
16 display of information, the bubble for a given
17 health system shouldn't only have the attribute of
18 count of patients, but we needed to mention that
19 captures what Danny says, how intense are there
20 connections outside the walls of the citadel into
21 the community?

22 If we can capture that and put it on our

1 visual display, we will incent them to show up
2 better by having more of those and that will drive
3 that research.

4 Bob, I think it is you -- we have an online
5 question, I think, before we get to you Bob. Can we
6 get Kimberly and then we'll go from there? I know
7 it's hard to keep order online, and I feel bad that
8 we sometimes keep them waiting longer. Kimberly.

9 MS. RICHARDSON: Good morning. I just want
10 to piggyback on some of the comments that you made,
11 and particularly you, Russ, in terms of that geo
12 spacing.

13 I think it's so incredibly important that
14 the initiative, the HSII initiative, really begins
15 to look at not only what's happening internally at
16 these institutions as they relate to the communities
17 in their catchment area, but also how well they
18 connect to, as you said, Nakela, safety net
19 hospitals. How well do they connect to community-
20 based hospitals in terms of care and treatment?

21 So it's going to be really important for us
22 to sort of the bang for the buck to really look very

1 closely at these initiatives and how well we are
2 bringing these synergies of healthcare to everyone
3 within these individual catchment areas because
4 sometimes they do have a tendency to overlap.

5 So as we want to celebrate these individual
6 organizations, we still want to find out:

7 How well will a woman from a rural
8 community be able to access something at one of
9 these selected initiatives? How well is someone
10 from an underserved community going to be able to
11 access these in these organizations that we're
12 funding? So, thanks.

13 CHAIRMAN HOWERTON: Thank you Kimberly.
14 Bob, I believe you're next.

15 DR. VALDEZ: Thanks very much. I'm think
16 I'm going to be crossing the two topics that we've
17 just been talking about. One has to do with the
18 institutional activities and the other has to do
19 with the adversity supplements that are being made
20 available to researchers to increase the diversity
21 in their studies. And quite honestly, there are
22 large numbers of researchers who normally look at

1 that as a criteria for making their studies more
2 representative, so the populations that they serve
3 or their institutions serve.

4 I know that there's been the argument that
5 it costs more, and that's why we need the diversity
6 supplements to recruit patients who are not part of
7 our regular recruitment mechanisms or services
8 because they're already placed in these particular
9 areas and they recruit from the same groups of
10 people, in the same populations.

11 But I think there's something to be learned
12 from PCORI grantees who already do that and who in
13 their populations already are more diverse and
14 representatives without requesting a diversity
15 supplement. And I think we should take a look at
16 that and see is this just a cultural issue? Is it
17 truly an economic issue? And figure out how we, in
18 fact, build that into our requests or our criteria
19 for inclusion into a study or funding the study.

20 And that goes both from the institutional
21 organizational issues that Danny, raised to just
22 simply the way the study design is created to be

1 inclusive or non-inclusive, to be convenient or not
2 so convenient.

3 CHAIRMAN HOWERTON: Thank you. I think
4 you're highlighting a traditional principle of
5 performance improvement, which is look internally
6 for islands of excellence before you go elsewhere.
7 And if we think of ourselves as a PCORI community,
8 we perhaps would want to make sure we're sharing
9 that first.

10 Kathleen, I believe you are next. Is that
11 right?

12 MS. TROEGER: I think so. This will be
13 quick.

14 So tremendous to hear the enthusiasm around
15 the HSII initiative, I think it just represents a
16 tremendous advance for PCORI. I echo most of what I
17 think Ryan started in terms of this chain and with
18 regard to the geospatial, in addition to the
19 diversity and I think the representation of insured/
20 uninsured, public versus private in the mix that
21 we've heard.

22 I would also add that I think there is

1 already a significant or an overlap with existing
2 PCORnet sites and it would be good to see that on
3 the map as well, because it's some certainly, but
4 not the majority. Thanks.

5 CHAIRMAN HOWERTON: Okay.

6 DR. COOK: Can I reply? Because that's
7 come up just a few times in terms of that overlap.

8 CHAIRMAN HOWERTON: Sure.

9 DR. COOK: So I just wanted to let you know
10 that we do know that a third of systems that are in
11 the Health Systems Implementation Initiative are
12 represented in some way, shape, or form in PCORnet.
13 And so, I think that's actually a good point for us
14 to follow up on.

15 And the other piece that I just wanted to
16 make sure that I mentioned, is that the health
17 systems really that are represented across HSII are
18 you know, they're systems that actually are diverse
19 within themselves and have kind of that inclusion of
20 safety net hospitals or even community care or
21 critical access hospitals as part of their systems,
22 too.

1 And so, I think that's another area that I
2 was hearing a little bit about, that we have that
3 opportunity to really bring out in terms of
4 understanding the richness of contributions of those
5 types of diversity of systems. So just wanted to
6 add those points to the discussion given it's come
7 up a few times.

8 CHAIRMAN HOWERTON: All right. Thank you
9 very much. I'm pretty sure that Zo is next, and
10 Chris is the last between Mike and Alicia, I don't
11 know who is after that, but we'll start with Zo.

12 DR. GHOGAWALA: Nakela, I was thinking a
13 little bit more about the implementation initiative
14 and one of the potential barriers for implementation
15 oftentimes in healthcare systems are the costs
16 associated making some of the changes that might
17 improve health.

18 And so, my question is how much have we
19 engaged payers in some of these implementation
20 efforts in healthcare systems? Because it seems to
21 me that they would be able to help us think through
22 these implementation goals.

1 DR. COOK: I really appreciate that
2 comment. And you know, our broad engagement of
3 payers, I think, reveals some insights for us and we
4 certainly have that opportunity to do that crosstalk
5 between those engagements. But we also have engaged
6 with provider plans as well that have applied to
7 HSII. And so, I think we're going to learn from
8 those types of plans, too, in terms of how we may
9 think about the change and the cost of change, et
10 cetera.

11 Some of the capacity building opportunity
12 is also to jump start some of the type of
13 infrastructure change that may be important, to even
14 show a proof of principle, for a lot of the kind of
15 evidence-base that may be necessary to push toward
16 the types of change that may be important for
17 implementation.

18 CHAIRMAN HOWERTON: Thank you.

19 DR. HERNDON: Just a question for clarity.

20 Nakela, I probably should know the answer
21 to this, but I can't remember the discussions around
22 it. When it comes to implementation of the research

1 and the science are we only going to be giving
2 awards for implementation to the HSII participants
3 other networks and organizations who want to do
4 implementation, be able to do it?

5 DR. COOK: Within this particular
6 initiative, the awards for implementation would be
7 within those that have been identified as
8 participants and awarded as part of the HSII
9 program.

10 We will continue our other components of
11 our dissemination and implementation activities,
12 which, as you know, reach a wide array of
13 opportunities for those outside of HSII to continue
14 to work to move PCORI-funded research results
15 forward. So it's not exclusive to those other
16 opportunities.

17 CHAIRMAN HOWERTON: Thank you. Alicia.

18 DR. FERNANDEZ: Yeah, thank you.

19 First that was so exciting. That was an
20 incredible report. It's just incredible to see all
21 the things that are happening and the Health Systems
22 Initiative is particularly exciting. And I think

1 what it has left me with is a real desire to know
2 more.

3 And I wonder whether in the future, the
4 Chair and you, Nakela, could give us a little bit of
5 a report on the health systems -- on the initiative
6 side, there is of course issues of priorities and
7 governance and scaling, and so on and so forth. And
8 I think it would be great at some point to hear a
9 little bit about that and I don't know whether or
10 not you wish for Board input into that, but I think
11 that would be really important.

12 The other issue is just a plea for all of
13 us, that we're developing PCORI-unique vocabulary
14 and we should just be -- I think it may become
15 confusing over time. When do we mean we're going to
16 fund an implementation science? When are we funding
17 in the implementation initiative? When are we doing
18 the dissemination implementation of PCORI-funded
19 studies? So health equity, diversity supplements,
20 all of these things.

21 And so, over time I wonder whether we want
22 to think about that just to make it easier.

1 And along those lines I hope that we will
2 think about implementing diversity supplements along
3 the lines of traditional NIH diversity supplements,
4 which is to say for capacity building among
5 researchers, unless that is one of the things that's
6 already in there and I missed it.

7 But as many of you know, that's been a very
8 valuable mechanism for younger people launching
9 their careers. It is relatively cheap and my
10 understanding is that at NIH it has borne fruit,
11 certainly among the people that I've seen. It's
12 borne fruit.

13 So those are just observations, but my
14 overall emotion is one real excitement that we're
15 poised to do this and that you've been doing this so
16 thoughtfully, so thank you.

17 DR. COOK: Thank you Alicia.

18 CHAIRMAN HOWERTON: Thank you. Can I tag
19 onto that? Have we thought of a name -- HSII is
20 confusing, even to us here. We barely remember it.

21 Have our branding experts thought of a name
22 to go along with PCORnet. We need to think of what

1 we're going to call that, not HSII. Chris.

2 DR. FRIESE: Well, thank you very much and
3 congratulations on the accomplishments and the
4 progress that you've described Nakela. It's very
5 impressive and very exciting to see the list of HSSI
6 centers.

7 CHAIRMAN HOWERTON: Wait, time out. He
8 said HSSI.

9 [Laughter.]

10 DR. FRIESE: Sorry.

11 CHAIRMAN HOWERTON: I rest my case that we
12 have to come up with a name.

13 DR. FRIESE: I apologize. I admit I am
14 ascending a learning curve on the PCORI vocabulary.

15 CHAIRMAN HOWERTON: Yeah, well, we're all,
16 too, don't worry.

17 DR. FRIESE: And the alphabet soup.

18 So Dr. Fernandez, I certainly support your
19 comment earlier. And as you can see, I'm learning
20 as well. But relative to the HSII Centers of
21 Excellence that you've that you've selected and that
22 you shared with us, I wonder is there an element of

1 our work that would capture the impediments that
2 they experience in their implementation?

3 So Zo referenced one, it could be payers.
4 But there could be other types of impediments as
5 well. The challenge then, certainly it could be
6 scope of licensure, it could be other facility
7 licensure, it could be state law, it could be just
8 an array of other challenges, and it would be really
9 powerful if in some way we could capture, you know,
10 collectively the impediments that they experience,
11 and then glean what lessons that we might learn from
12 their collective experience.

13 So I just wondered, Nakela, is there an
14 element of our work that captures collectively those
15 impediments of the experience?

16 DR. COOK: Thanks for highlighting that.
17 And I would say yes and yes. Because we're really
18 excited about the Learning Network as that way to
19 start to reveal even some of the themes that maybe
20 successes as well as barriers in terms of our
21 learning across systems, both for the systems
22 engaged, as well as for PCORI. We're going to learn

1 a lot through the Learning Network and the cross
2 talk with the networks.

3 And then the other components, there's a
4 robust evaluation plan activity that will be part of
5 the initiative. And so, that'll be another way in
6 which we can really glean, both the barriers as well
7 as the facilitators really for this type of work.

8 CHAIRMAN HOWERTON: Thank you. In the
9 room, I don't see other questions. Are there any
10 online questions that I have not attended to?

11 DR. COOK We don't see any.

12 CHAIRMAN HOWERTON: All right, well, I want
13 to compliment our Board. I'm not sure if you're
14 reading your schedule and have adjusted your
15 comments to fit that. You have arrived at precisely
16 the time allotted for this discussion.

17 So if there are no further comments, we
18 will thank Nakela for a superb Executive Director's
19 report.

20 [Applause.]

21 CHAIRMAN HOWERTON: And no doubt the team
22 that helped her prepare that, as well. Our applause

1 is for them also.

2 And I believe we would then turn to our
3 next agenda item, which is consideration of
4 Methodology Committee Appointments, and I would like
5 to turn it over to James Schuster, Chair of the
6 Nominating Committee for Members of the Methodology
7 Committee, and I believe he will introduce the
8 agenda item and subsequent speakers.

9 DR. SCHUSTER: Thank you.

10 CHAIRMAN HOWERTON: And I'm sorry if I had
11 asked to have the slides. Oh, somebody already did
12 put the slides back up. Never mind. You're ahead
13 of me. Thank you.

14 DR. SCHUSTER: Thank you. And Harv Feldman
15 is going to be presenting with me and I certainly
16 want to start out by thanking everybody who served
17 on this group. There were several meetings, lots of
18 thoughtful work and as well as lots of work by the
19 staff to evaluate new members for the Committee. As
20 you all may remember, we had lots of discussions
21 over the last couple meetings. And certainly, the
22 new folks don't remember, but historically, we'd had

1 members on the Methodology Committee who some agreed
2 to forego PCORI funding and some did not. And for
3 the ones who did not, they couldn't participate
4 necessarily in all the discussions and there were
5 some limitations.

6 So we're moving to a different model, which
7 you'll hear more about. So that was certainly part
8 of it. And then there's also folks rotating off the
9 Committee, and so, we did a lot of really trying to
10 identify the right tempo for people coming on and/or
11 leaving. So you'll hear some more about that as
12 well.

13 And we think that this will really, that
14 the model that we worked, on will really maximize
15 the positive impact from the Committee, really a
16 broad depth of expertise in these areas. And these
17 folks have also all had background checks and
18 extensive vetting as well.

19 And lastly, just want to also thank
20 everybody who served on the Methodology Committee.
21 As you'll see, some of those folks are going to be
22 continuing but some people are rotating off either

1 now or shortly, and they certainly made great
2 contributions and we really appreciate all their
3 work over the past.

4 So I'm now going to turn this over to Harv,
5 who's going to walk us through some of these
6 details.

7 DR. FELDMAN: Great. Thank you, James.
8 Maybe we can advance to the next slide.

9 So I'll just start off by, first of all, by
10 thanking James for his leadership of this nominating
11 committee, and this slide depicts the six Board
12 Members and two staff, myself and Erin Holve, who
13 gathered together for multiple meetings for this
14 nominating committee and did the work of vetting
15 that we'll describe here that led to the
16 recommendations that we're bringing to the Board.

17 So with that, can we maybe advance to the
18 next slide, please?

19 So this slide is a reminder to some and
20 perhaps new to others of the Methodology Committee
21 governance framework that was revised back at the
22 beginning, the early part of 2022.

1 And I'll note that in developing the slate
2 of nominees we were very mindful of this framework
3 and the slate fully aligns with the revised March 22
4 guidance here that you see. All of the nominees
5 have agreed to adhere to PCORI's conflict of
6 interest policies that are also depicted here. And
7 the proposed terms provide for the staggered
8 structure that is also specified in the revised
9 governance framework for the Committee.

10 You can go on to the next slide, please.

11 So the Committee vetted a very strong set
12 of nominees who represented a broad array of areas
13 of expertise, including but not limited to those
14 that are outlined in PCORI's authorizing law. These
15 included areas such as program evaluation; research,
16 rigor, and reproducibility; data science and
17 informatics; health services research; analysis of
18 potential burdens and economic impacts to patients
19 and caregivers; implementation science; as well as a
20 methodological focus on diversity, equity, and
21 inclusion.

22 And after careful deliberation of all of

1 the nominees, and there were approximately 30 or so
2 nominees that were brought forward to the Committee.
3 The Committee recommends a slate of 15 individuals
4 that is comprised of seven current Methodology
5 Committee members and the recommendation is for an
6 appointment for approximately a two-year term, and
7 eight new Methodology Committee members for an
8 appointment of approximately a four-year term.

9 And you'll see this in just a moment.

10 We anticipate future Methodology Committee
11 appointments now to occur biannually, which will
12 again allow us to fully conform to the governance
13 framework that I shared with you just a minute ago.

14 So if we move on to the next, and the last
15 slide that I have here. Shown are the individuals
16 on the slate of nominees. And you see they're
17 separated by virtue of the duration that is being
18 recommended for their term. And you see on the
19 left, these are individuals who are currently
20 serving on the Methodology Committee, recommended
21 for approximately a two-year term. And then new
22 members on the right side of this slide, for

1 approximately a four-year term.

2 And it is also recommended that the terms
3 begin on the first day of April of this year.

4 So with that, let me bring this back to you
5 Russ. And the next slide, which we don't need to go
6 to quite yet until you're ready after discussion,
7 has the motion for approval, but let me turn it over
8 to you to lead any discussion you'd like to have.

9 CHAIRMAN HOWERTON: Certainly. Let me
10 thank all of you for the work that got us to this
11 slate of candidates and I open the floor for
12 discussion from any and all members of the Board
13 about these candidates or about the structure and
14 processes that were outlined.

15 All right, we're going to start first with
16 our line members for a change. Chris, Chris Freeze?

17 DR. FRIESE: Yes. Hi. Good morning.
18 Thank you for this work. I appreciate it, and it
19 looks like a terrific slate.

20 Just -- and maybe I missed it. It looks
21 like both the Chair and the Vice Chair would be
22 terminating at the same time, and I wonder if there

1 had been thought of staggering it a little bit for
2 leader transition or maybe we do that in the next
3 round as new Chair and Vice Chair are appointed.

4 DR. FELDMAN: Maybe I can address that, and
5 James, please chime in.

6 So Steve Goodman and Robin Newhouse are
7 currently the Chair and Vice Chair of the Committee,
8 and therefore, will continue in those roles until
9 such time as when the Board makes a decision,
10 potentially, to modify the leadership of the
11 Committee. The action of evaluating and potentially
12 revising leadership of the Committee needed to wait
13 until the Committee was actually fully populated.

14 So that would then travel through a board
15 process that, as I understand it, would involve the
16 Governance Committee and then on through to the
17 Board.

18 So this is really, for the moment, a
19 depiction of leadership and then it's really up to
20 the Board how that will evolve over time.

21 CHAIRMAN HOWERTON: Chris, did that answer
22 your question?

1 DR. FRIESE: Yes. Thank you.

2 CHAIRMAN HOWERTON: All right. Thank you
3 very much. I think, Bob, you were next then the
4 Chris in the room and then Ryan, am I correct? I'm
5 sorry I didn't keep the order. So we'll start with
6 you and then you can help me --

7 DR. VALDEZ: Well, first of all, thanks
8 very much to the Committee members who selected the
9 candidates and worked through the process. And I'm
10 particularly happy to see the geographic diversity
11 that's represented by the new potential members.
12 But I would encourage us to consider more issues of
13 our diversity in our committees, both those that are
14 standing committees as well as those that are ad
15 hoc.

16 The other thing that was impressive is that
17 we also have people from different kinds of
18 institutions, which I think is important for
19 institutional work.

20 In particular, the discussion that we had
21 just a few minutes ago. But there's some concerns I
22 have about the fact that we seem to do pretty well

1 on the male/female mix and some on the geographic
2 mix, but not so much on the race/ethnicity mix. And
3 for some of the issues that we were talking about
4 with regard to diversity of study populations and
5 others, I think it's very important for us to keep
6 that in mind.

7 DR. SCHUSTER: We did discuss those issues
8 in the selection process and we tried to look at
9 look at those issues. There were relatively small
10 number of candidates who represented diversity
11 opportunities. We did talk about the fact that is
12 undoubtedly, at least partly related, to how you
13 reach out and recruit folks to be candidates and
14 that's a learning opportunity for us going forward.
15 So we, the group, agreed with that.

16 CHAIRMAN HOWERTON: Thank you. Chris
17 Boone.

18 DR. BOONE: Thank you. Yeah, I mean, I
19 think it's -- I agree that it's a very impressive
20 group of candidates. But I am curious to see, I
21 mean, I noticed that most of these individuals are
22 affiliated with universities, and I wonder if is it

1 due to the fact that there weren't many candidates
2 from industry that applied to be part of the
3 Methodology Committee? Or is it just by design that
4 we choose, or sort of prefer, if you will,
5 individuals that are affiliated with universities?

6 DR. FELDMAN: Yeah, we did not limit this
7 in any way in that fashion, Chris, and I certainly
8 agree with your observation.

9 I think, again, coming back to the sort of
10 learning opportunity, because we'll be doing this
11 iteratively as the Committee continues to refresh.
12 We need to think about how our, the criteria that
13 the Committee members utilize to assess the
14 methodological backgrounds of the individuals, how
15 much of that aligned with, for example, positions
16 within the academic sector as opposed to other types
17 of areas within the Methodology Community.

18 But this is a reflection of who actually
19 applied in this instance. So thank you for the
20 comment.

21 CHAIRMAN HOWERTON: All right. I think
22 that takes us to Danny.

1 MR. VAN LEEUWEN: So I support this slate,
2 but I really hope that in the next round of this
3 that we put some people with lived experience on the
4 Methodology Committee.

5 I can't imagine how we're going to address
6 the methodology priorities for maternal mortality
7 and morbidity or intellectual and developmental
8 disabilities without having people with lived
9 experience on this committee.

10 CHAIRMAN HOWERTON: Thank you, Danny. Does
11 anyone by chance know if any of these members would
12 actually have any of those lived experiences in
13 addition to their other qualifications?

14 DR. FELDMAN: I don't know actually about
15 whether they have lived experiences. I think it's a
16 really important point that you're making, Danny,
17 and I would say that these Methodology Committee
18 members, and by virtue of being part of this group,
19 have the role of interacting with a whole host of
20 other entities at PCORI, including our stakeholder
21 groups, which is very explicitly identified within
22 the authorization for PCORI and the depictions of

1 the Methodology Committee.

2 So there is strong opportunity to weave in
3 individuals with lived experiences.

4 It doesn't diminish your point in the
5 least, but this committee certainly will not, has
6 not, in fact, in the past operated in isolation of
7 communities that, in fact, are enriched with
8 individuals who have those experiences --

9 DR. SCHUSTER: Could I just jump in.

10 CHAIRMAN HOWERTON: I think you are part of
11 the Committee, so you get to

12 DR. SCHUSTER: I think it's a great point
13 though, and I think you know, in the same way that
14 Chris raised the point about trying to identify
15 folks from outside academia to participate. I think
16 in the same way we could, you know, there are lots
17 of people undoubtedly, who have the required
18 technical experience and also have lived
19 experiences, and that would be something certainly
20 to ask folks if they're comfortable to share when
21 they apply and potentially do some outreach, kind of
22 trying to specifically encourage applications for

1 those communities.

2 CHAIRMAN HOWERTON: Thank you. Ryan, would
3 you be willing to defer for a moment? I think Kara
4 wants to make a comment on this point and then we'll
5 come to you.

6 DR. AYERS: Thank you Russ. Yeah, I agree,
7 Danny, it's a great point and I really think that
8 even broader than just this selection process, we
9 need to look at the way that we assess lived
10 experience. The fact that we really don't know
11 tells me that we need to reevaluate the way that we
12 assess, you know, many times asking about disability
13 is kind of the uncharted demographic and that I've
14 asked where's the disability graph data when we have
15 other diversity variables and have been told that's
16 sensitive information. But yet we ask about other
17 things.

18 So we really need to look at what questions
19 we ask in our recruitment process and make sure that
20 we're including, I mean, as noted, some people will
21 always, for a variety of reasons, many valid, not
22 disclose. But if we're not asking, we'll never

1 know.

2 And then just reiterating, that even though
3 these individuals may have connections with patient
4 communities or lived experience communities, that
5 doesn't, of course, it's not the same as direct
6 representation. So, great points.

7 CHAIRMAN HOWERTON: Thank you, Kara.

8 Just a personal point, thank you to all of
9 you who sent me obituaries on Ms. Humann [phonetic]
10 yesterday. Truly a remarkable individual -- to have
11 read those and increased my knowledge base greatly.
12 Thank you. Ryan.

13 DR. BRADLEY: Yeah. Thank you. I think
14 maybe my comment or question just speaks to the
15 criteria used in the selection of this committee and
16 I'm not clear on the backgrounds of all these
17 candidates. If those materials were supplied to us,
18 then I apologize for missing them.

19 But I'm curious about the inclusion of sort
20 of the up-and-coming generation of methodologists,
21 specifically in the area of emerging technologies
22 such as AI, cooperative computing platforms,

1 analytical platforms, use of wearable devices, and
2 other emerging technologies that are going to be
3 critically important to the future of the research
4 that we do.

5 And those skills are not always nested in
6 those with the most experienced research careers in
7 these methods. In fact, the youngest and the
8 brightest are actually often the best in innovating
9 these methodologies. So if not in the present
10 selection, I'm hoping that these factors are
11 considered in future candidates for this committee.

12 CHAIRMAN HOWERTON: Harv, would you like to
13 comment on that?

14 DR. FELDMAN: Yeah, I would just -- thank
15 you for that really insightful comment.

16 The Committee was aware of some of those
17 interests within the slate that's before you here,
18 but I think it's really a critical point that you're
19 making, again, about the care that we take in
20 identifying how we reach out and how we characterize
21 the requirements for the Committee.

22 And I think your point and the other points

1 that have been made here really suggest strongly
2 that there's great opportunity for us to engage with
3 the Board in really discussing how we can best
4 outreach as this process iterates, which it will
5 every other year. So thank you.

6 CHAIRMAN HOWERTON: Thank you. James is
7 your card up for a question and Danny, I think your
8 card was up from before.

9 One comment to Ryan. I'll solicit hard,
10 but the requirement to forego the PCORI-funding may
11 be harder for younger investigators, I mean, younger
12 methodology-type persons, more senior-established
13 folks may feel very confident that they have a
14 pathway. They may. They don't all, they may, but
15 the younger you are, you may need to keep more of
16 your opportunities open.

17 So we do need to think of a way to balance
18 that. I don't really know what the answer to that
19 is.

20 As a new member, I will say, I think over
21 the years that's been one of the great challenges
22 for the Methodology Committee, is understanding that

1 balance to be impartial without funding, but to be
2 knowledgeable and experienced.

3 Are there online questions that I have not
4 called upon? Are there any further questions in the
5 room?

6 Debbie. Please go ahead.

7 DR. PEIKES: Sure. I just wanted to follow
8 up on what Ryan said. I would find it very useful
9 to have just a listing of what their particular
10 expertise is, just to get a sense of what kind of
11 methodological expertise is covered going forward.

12 I'll hold my comment for this round.

13 CHAIRMAN HOWERTON: Well, thank you. I
14 would actually echo Ryan, because I thought the
15 names were not submitted to anyone prior to the
16 meeting. And then in the materials I had, it was
17 all TBD. Was there demographic information
18 circulated?

19 DR. FELDMAN: I don't believe there's
20 demographic information, but it's certainly
21 straightforward for us to share the affiliations and
22 a brief depiction of backgrounds of the individuals

1 on the slate for the Board.

2 CHAIRMAN HOWERTON: Point of consideration
3 in the future. I'm very familiar with this, having
4 contributed to all of this for you as a board by
5 sending you a slate at the very last minute before
6 we did nominations at the last board meeting. But
7 in general, we should not be expecting our Board to
8 actually vote on a motion for names that they just
9 saw now because the Board would reasonably be
10 expected to have some time to opine on that.

11 So as we think about next year's process,
12 we need to consider that. I will ask the Board if
13 you are willing to go ahead and have us have a
14 motion on this slate, even with the information
15 content, time cycle as you have it today.

16 If you are not, and if anyone is not, we
17 are more than happy to do something different.

18 Danny.

19 MR. VAN LEEUWEN: Yeah. I think that we
20 did receive something, but today when I was like,
21 this morning I was prepping for today. I couldn't
22 find it.

1 So I did see -- the reason I started my
2 comments with I support this slate is that I did see
3 the detail about these people and it was really
4 impressive.

5 But again, I couldn't find it when I went
6 back to look for it because I, all I could find was
7 the original TBD stuff.

8 CHAIRMAN HOWERTON: I'll retract my comment
9 then if I missed it as well. I had printed the TBD
10 stuff, also.

11 DR. COOK: Yes. Unfortunately, I think the
12 addendum came a little later than the original
13 package, so you hopefully received it on Thursday of
14 last week, and it did have some summary information
15 about each of the nominees.

16 CHAIRMAN HOWERTON: All right, I retract my
17 comments. Probably then we should vote. Shall we
18 move on to the next motion? All right.

19 Does anyone, I think this -- I'm so sorry.
20 Go ahead.

21 DR. NEWHOUSE: No, I can very quickly give
22 you the list that I have from my own notes, if

1 that's helpful.

2 CHAIRMAN HOWERTON: Sure.

3 DR. NEWHOUSE: For you to know the scope,
4 and I know Steve's on the line. Steve Goodman,
5 who's the Chair who can add anything else.

6 So the areas of expertise that I have
7 recorded are data science, informatics, diversity,
8 equity, and inclusion; analysis of potential burdens
9 and economic impacts to patients and caregivers,
10 data science, informatics program evaluation,
11 research rigor and reproducibility, social
12 determinants of health, health literacy, numeracy
13 risk communication, implementation science, health
14 system science program evaluation.

15 I'm not going to repeat some of the others.

16 Reliability of epidemiology research; data
17 science; informatics; the qualitative/quantitative
18 mixed method, design and evaluation techniques.

19 Some of them are redundant.

20 Let's see, bioethics, data science. More
21 economic impact. Patient and caregivers, decision
22 science, participatory research, mixed method,

1 health disparities, patient engagement, community-
2 based participatory research, health system science.

3 And let's see. I think that's about it,
4 Steve, is there anything we missed here?

5 DR. GOODMAN: I'm sorry, you're asking me?

6 The only thing I would just observe from,
7 and I was not on the Committee, but many of these
8 while they might not be junior researchers, they're
9 definitely not senior. They're very sort of mid-
10 career, very much in the mix of contemporary issues.

11 So related to the previous comment, I do
12 think we have folks, and I totally agree with this
13 comment that are very much in the mix of current
14 emerging both methodologies and technologies.

15 Of course, it's impossible in a committee
16 of this size to cover everything. But we have a lot
17 of people who are, you know, you might call in the
18 prime of their careers and represent the next
19 generation.

20 CHAIRMAN HOWERTON: Thank you both, Steve
21 and Robin, that was an impressive list.

22 Bob, you have one more?

1 DR. VALDEZ: Yes, I spent the weekend
2 checking out these folks because there wasn't enough
3 information for me to understand what they brought.
4 And I second the wonderful list you provided having
5 done that for myself, trying to understand who I was
6 going to be voting for today.

7 But I have to disagree, I don't think that
8 some of the more cutting-edge methodological
9 approaches are covered, the issues that Ryan raised
10 earlier, but these are certainly people who are at
11 the middle of their careers largely, who are doing
12 some cutting-edge work and have some impressive
13 publications under their belt and work under their
14 belt. I'm very supportive of them, from a mix and
15 methodological perspective.

16 But there are some shortcomings that Ryan
17 pointed out and there are some issues about how we
18 know who we're voting for or not.

19 CHAIRMAN HOWERTON: So I would thank you
20 very much for that. And again, we welcome diversity
21 of opinion and I would actually ask, while we are
22 starting the day after this vote to prepare for two

1 years from today. And so, if there are gaps, and in
2 particular if anyone here knows anyone who might be
3 a plausible candidate. I'm willing to bet that Harv
4 and James would welcome those names now for the act
5 of soliciting and finding individuals to be ready
6 for us in 2025 to when many of us, most of us will
7 still be here to vote on this, and begin. And
8 perhaps we can lessen that gap the next go-round.

9 Thank you for that, Mike.

10 DR. HERNDON: Just a point of clarification,
11 the email containing them was sent March 1st. Amy
12 sent it March 1st, at 7:30. If you all look in your
13 inbox, March 1, 7:30 p.m., from Amy.

14 CHAIRMAN HOWERTON: All right. Well, I'll
15 have to acknowledge my failing in that. Ryan.

16 DR. COOK: Can you turn your microphone on
17 for us, Ryan?

18 DR. BRADLEY: Sorry about that. I also
19 wanted to acknowledge my failing in that, and that I
20 did just review my email inbox and found the
21 addendum.

22 I think perhaps a request should that

1 circumstance happen in the future; the significance
2 of the changes be highlighted in a little more
3 detail on the fact that there was some biographical
4 information included that was not previously
5 circulated. I think that would've been helpful.

6 I take responsibility for not reviewing the
7 most updated version, but I think if there's a way,
8 and particularly on important points like that, to
9 direct our attention to those changes a little more
10 specifically that would be very helpful because I
11 had previously reviewed the other materials.

12 So that's just a general request. Sometimes
13 action steps get buried in communications and it'd
14 be really nice to bring them to the forefront.

15 That said, I also just wanted to comment on
16 the issue raised about the potential challenges in
17 foregoing PCORI funding and the challenge that might
18 bring with recruiting younger, potentially younger,
19 I didn't mean to say younger. Those that are
20 perhaps at the, you know, the more cutting edge of
21 the distribution of innovation regardless of their
22 age, that forgoing PCORI funding might be an issue.

1 I think this ties back to the previous
2 comment about looking into industry and looking into
3 other sectors that may not be dependent upon PCORI
4 funding. I think we also have to acknowledge it's
5 still a very small minority of individuals who are
6 funded by PCORI.

7 The Methodology Committee commitment is not
8 a super long-term commitment to where that might be
9 a significant career compromise for them. And I
10 just want to thank everyone for the discussion
11 around the point. I do think it's important, so
12 thank you.

13 CHAIRMAN HOWERTON: Thank you. Agreed.

14 I will say with regard to communication, I
15 believe a future state will be that we are all on a
16 BoardEffect program, and the source of truth will be
17 what is current on the BoardEffect. And to your
18 point, we might get notifications of changes in
19 BoardEffect, but rather than filter through, most of
20 you probably get what, two, three emails a day,
21 filter through the five or 10 emails in your inbox.
22 We will be going to the BoardEffect platform, which

1 will be constantly current. I hope that's our
2 future state.

3 We will happily let you add something,
4 Nakela.

5 DR. COOK: I just wanted to add a couple of
6 things and one of the things that we did from a
7 process perspective this time around, was really
8 lean on input from the Methodology Committee about
9 the types of expertise that could be helpful for us
10 to recruit and think about for the new appointments
11 moving forward.

12 And I think in several areas that were
13 identified by both, the Methodology Committee and
14 discussed with the Board previously, we were able to
15 find that type of expertise in the pool of
16 candidates that applied.

17 So I just wanted to mention that I think
18 there's going to be an opportunity for us to look at
19 that again, as we come back for the next round of
20 appointments in two years to say, you know, given
21 the state of the field and the where things are,
22 what are the areas of expertise that may be most

1 important? And we were able to put that in the
2 solicitations that were sent out asking people to
3 apply for this opportunity.

4 And so again, we can do that in the future.
5 And it's important, as Russ mentioned, that as we
6 start to think about where we want to go down the
7 line, starting to build some of that so that we can
8 make sure that the robust outreach includes those
9 areas that would be most important to reflect on the
10 Committee. But that was part of the process leading
11 to the slate of candidates as you see right now.

12 And the Committee did a lot of work to try
13 to sift through different areas of expertise in
14 order to really understand and kind of complement
15 that would be rich for the Methodology Committee.

16 CHAIRMAN HOWERTON: Are there online
17 comments or questions not attended to?

18 MS. THOMPSON: No, there are not.

19 CHAIRMAN HOWERTON: If there are no further
20 in the room, would anyone be willing to make a
21 motion to approve this slate?

22 DR. HERNDON: Mike, so moved.

1 DR. FERNANDEZ: So moved.

2 CHAIRMAN HOWERTON: I'm going to take
3 Alicia, not you, since you were in the Committee
4 that did it. How's that?

5 And then we'll take Mike as the second.

6 How is that?

7 Is there any further discussion?

8 Have there been any changes in our
9 attendance, Maureen?

10 MS. THOMPSON: Yes, Barbara McNeil had to
11 step away.

12 CHAIRMAN HOWERTON: We remain with a
13 quorum, I believe. And so, all those in favor say
14 aye.

15 [Ayes.]

16 CHAIRMAN HOWERTON: Anyone in opposition or
17 abstention?

18 [No response.]

19 CHAIRMAN HOWERTON: Thank you. The motion
20 carries.

21 We have an excellent slate that we look
22 forward to working with and we look forward to

1 beginning to address some of the points raised here
2 as we begin the 2025 process tomorrow morning.

3 I want to, again, commend this Board for
4 reading your agenda because we have hit the mark
5 precisely on our time.

6 I believe that we turn again to James in a
7 different role as the Chair of the Finance and
8 Administration Committee for him to begin the
9 strategic discussion on our 2023 and beyond
10 commitment plan. One of our very important tasks as
11 a board.

12 DR. SCHUSTER: Thank you. I'm actually
13 doing this at my capacity as the Vice Chair.

14 CHAIRMAN HOWERTON: Fair point. You're
15 right. I realize that now.

16 DR. SCHUSTER: Our Chair is on vacation.

17 CHAIRMAN HOWERTON: Jim is overseas.

18 DR. SCHUSTER: Yes. And so, I'm doing it
19 in his stead.

20 So thank you for the introduction. The
21 Finance Committee met recently and reviewed
22 certainly ongoing financial statements, looking at

1 expenditures, financial positions, et cetera, and
2 that was really -- everything looked quite good and
3 on point.

4 The issue that we're bringing here this
5 morning is really focusing on our long-range
6 planning in terms of committing our funds. So
7 essentially, how much we'll commit when, and it's
8 certainly significantly driven by what our revenues
9 are. But there's some flexibility we have in terms
10 of, you know, whether we commit the same amount
11 every year or whether we want to kind of, to some
12 degree, frontload that commitment to get out as much
13 money as we have work for, you know, work that we
14 approve and is valuable. Which I think has been
15 PCORI's historical approach and the recommendation
16 from this group was to continue the historical
17 approach.

18 Brian is going to review the details of
19 that. He and his team did extensive work to really
20 try to evaluate what's feasible and safe while
21 allowing us to be as kind of assertive in our
22 approach in terms of generating work as we can.

1 So I'll turn this over to Brian to walk
2 through the details.

3 MR. TRENT: Thank you James. And good
4 morning to everyone. Can we move to the next slide,
5 please? Thank you.

6 So at the Board's December meeting, we
7 discussed a plan to have strategic discussions
8 related to the commitment plan over the course of
9 this year. Today is the first of those discussions,
10 which will focus on the long-range commitment plan
11 mode. In June, we plan on discussing the three-year
12 commitment plan, which will include a discussion on
13 three funding categories within the plan, which are
14 research, dissemination and implementation and
15 infrastructure.

16 And these discussions will culminate in
17 September, with the Board's decision on the three-
18 year commitment plan for fiscal years '24 through
19 fiscal years '26. Next slide, please.

20 So in December of 2020, the Board-approved
21 both a long-range model for commitment planning
22 along with a rolling three-year commitment plan.

1 Both the three-year commitment plan and the
2 long-range model are subject to annual review and
3 updates by the Board.

4 The philosophy that the Board adopted at
5 the time for the long-range model had higher targets
6 for commitments in fiscal years '22 through fiscal
7 years '24, with a dip in fiscal year '25, and
8 leveling off through fiscal year 2030.

9 By frontloading the model, the goal was to
10 rapidly increase funding for new research projects
11 in the earlier years following reauthorization.
12 Next slide, please.

13 Here's the picture that illustrates what
14 the Board-approved in 2020. You can see in the
15 graphic the philosophy that the Board adopted for
16 this long-range plan, which frontloaded our
17 commitments with us eventually moving to a steady
18 state in the out years through fiscal year 2030.

19 The light blue range represents projected
20 award commitments based on two different revenue
21 assumptions for the PCOR fee. One assumption
22 assumes 5 percent growth and the other assumes no

1 growth. The revenue assumptions are based on the
2 projections by the Department of Treasury. Next
3 slide, please.

4 The proposed updated model that we're
5 sharing with the Board today has more funds
6 available for commitments in the out years because
7 of a combination of factors. These include rolling
8 over funds that were not committed in fiscal year
9 '22. A projected bump in revenues from interest
10 from our Treasury-backed securities, and keeping our
11 net balance of unobligated funds at a minimum.

12 One additional change that we made to the
13 updated model based on discussions with the FAC is
14 adding a target range for estimated commitments in
15 fiscal years '23, '24, and '25. Next slide, please.

16 So some of the benefits of the proposed
17 updated Commitment Plan Model include maintaining a
18 high and predictable level of yearly commitments
19 with a surge in the earlier years; maintaining a low
20 unobligated fund balance in the areas of \$200
21 million to \$400 million to maximize commitments
22 while cushioning variability in revenue sources;

1 maintaining the operating principles of not
2 committing funds before curing revenues; and the
3 target range versus point estimates for allowing
4 natural variability from year to year. Next slide,
5 please.

6 As Nakela mentioned in her Executive
7 Director's report, we've seen some steady increase
8 in terms of our first quarter actual commitments
9 over the past four years. Despite not meeting our
10 targets in fiscal year '22, as you can see, we made
11 significant improvements in the first quarter of
12 fiscal year '23 compared to the previous fiscal
13 years' first quarter commitments.

14 We'll be providing regular updates to the
15 Board on our actual commitments throughout the
16 fiscal year.

17 This graph represents funding across all
18 funding categories, including research,
19 dissemination and implementation, and
20 infrastructure. Next slide, please.

21 So for today's discussion, we want to focus
22 on the long-range model, the trajectory for year-

1 over-year commitments.

2 Does the Board concur with the approach
3 taken, which includes maximizing commitments and
4 minimizing unobligated balances?

5 Is having a variety of yearly targets an
6 effective way of accounting for variability along
7 various dimensions?

8 Does the Board have suggestions in terms of
9 alternate approaches to targets?

10 Is there anything different that the Board
11 would like to see in terms of the long-range funding
12 model?

13 And are there any additional considerations
14 related to the long-range model that we should
15 discuss at the upcoming Board meeting in June?

16 And with that, Russ, I will turn it back
17 over to you for questions and discussion.

18 CHAIRMAN HOWERTON: Thank you so very much.

19 And as always, I remind you to raise your
20 tent card if you want to speak in the room or chat,
21 Maureen, if you wish to speak somewhere else.

22 But James, we'll come back to you directly

1 as a member of the Committee to speak before opening
2 the floor to discussion. Go ahead James.

3 DR. SCHUSTER: Thank you. So I just had a
4 question for Brian, actually, which is in light of
5 the wind down of the Medicaid expansion as the
6 public health emergency ends and some of the
7 additional subsidies that were available for folks
8 on the Exchange during that period, is either one of
9 those you think likely to impact the number of
10 people purchasing policies, whose policies
11 contribute towards our funding?

12 MR. TRENT: I really don't know the answer
13 to that. I don't know Nakela, if you have --

14 DR. SCHUSTER: I just thought about that
15 while you were presenting. It would've been good if
16 I thought about it sooner.

17 DR. COOK: Well, James, we certainly can
18 look into it. I think one of the things that we
19 were trying to accommodate in the updated model is
20 the fact that there can be that variation.

21 And you may recall that in some of the
22 discussions with the FAC, we talked about the fact

1 that there's kind of an upper limit projection that
2 is based on some of the historical, and then we
3 dropped down to what could be zero percent. So we
4 think we're covering both the high limit and the low
5 limit in that range that's presented in the long-
6 range model.

7 So even if there is some fluctuation
8 related to the ending of that emergency funding and
9 changes on that Exchange, we hopefully, are
10 accounting for high and low.

11 DR. SCHUSTER: Yeah, hopefully.

12 So it just might be another dynamic worth
13 tracking, you know, and maybe bringing it back in
14 June.

15 MR. TRENT: Sure.

16 DR. SCHUSTER: Because it might be, you
17 know, it might be trivial, but it might be more
18 substantive. It's just worth looking at.

19 CHAIRMAN HOWERTON: Before I open the floor
20 to discussion, I would like to highlight for --
21 particularly, our new Board Members, that this is
22 one of the most major responsibilities we have as a

1 board to decide how to allocate these funds that
2 society has entrusted to us.

3 And just a free year thinking, and in no
4 way to sort of -- the theoretical range is vast.

5 In theory, I believe, we could commit all
6 of the future expected funding to a single study
7 next week or we could operate the ongoing studies
8 and commit to no new ones and do 10, one or single
9 study, in 2030.

10 So, I mean, the range of choices is great
11 and how we choose is very important to our society,
12 PCORI's success. So this is a worthy topic for deep
13 deliberation for us.

14 Mike, I open the floor to you.

15 I'm not advocating for either of those two
16 positions, by the way. I'm just trying to free your
17 thinking. We, as the Board, are empowered to think
18 about this.

19 DR. HERNDON: I'll start the discussion by
20 saying that my opinion to question number one,
21 Brian, is yes, we concur with the approach, or I at
22 least do.

1 Just for the new people who've joined us on
2 the Board, there has been a lot of discussion about
3 underspending and how we do need to be aggressive
4 and spend the resources that we have. And we've
5 worked very hard to do that, at least over the four
6 years that I've been a part of the Board.

7 So this approach, I think, makes the most
8 sense to be aggressive at maximizing our commitments
9 and I think it also is incumbent upon us then to
10 make the topics and the attractive, you know, to
11 researchers and we have to do a good job of making
12 it you know, attractive and palatable to those who
13 do this research and to get the meritorious bids and
14 all that.

15 So I'd say yes, be aggressive and continue
16 to do the excellent job that we're doing. The
17 infrastructure we've built to accommodate this
18 growth is outstanding here with PCORI and PCORI
19 staff.

20 So I think there's no reason to change the
21 attitude and the philosophy that we've had over the
22 past couple of years.

1 CHAIRMAN HOWERTON: Thank you very much.
2 Danny, I believe it comes to you next.

3 MR. VAN LEEUWEN: Thank you.

4 In the light of our discussion in the
5 Executive Director's report about implementation,
6 I'd like to address the fourth bullet and that I
7 would like to see us revisit the emphasis that we
8 put on implementation and funding for implementation
9 in our June meeting when we're talking about
10 specifics. Thank you.

11 CHAIRMAN HOWERTON: Thank you. And yes, I
12 do believe I'm looking, but that kind of subject
13 would be June. Would that be right?

14 MR. TRENT: Yes.

15 CHAIRMAN HOWERTON: Okay. Thank you.

16 Ryan, I believe you're next.

17 DR. BRADLEY: Yeah, very quickly. I just
18 wanted to respond to the questions. And so, I
19 certainly agree with maximizing commitments. I do
20 hope that we're being aggressive enough.

21 In some ways, I think we all are aware of
22 this issue of underspending and would like to see

1 that change. Obviously, a range is a very
2 comfortable approach and sort of realistic approach
3 in terms of how to represent that information.

4 My only request for anything different is
5 as we look at our quarterly actual commitments over
6 the past several years that we see quarter-by-
7 quarter and that the target range is actually
8 represented on that figure.

9 MR. TENT: Sure.

10 DR. BRADLEY: I think it just helps from
11 flipping back from slide-to-slide and shows our
12 progress to reaching our target commitment, if you
13 will.

14 And this might be a discussion for some
15 other time, but I would like to see us talk about an
16 approach where as we approach the fourth quarter, if
17 we're anticipating a significant unobligated
18 commitment, that we come up with some flexible ways
19 to commit those funds. And maybe that's a high
20 risk/high reward-type initiative. Maybe those are
21 supplements to existing projects.

22 I'm not sure what the right mechanism is,

1 but I think there's a huge need and if we have the
2 funds, we should use them.

3 MR. TRENT: Thank you.

4 CHAIRMAN HOWERTON: Thank you, Ryan.

5 Before I go to Kate, who's next, I would
6 call out that one of the or newer PCORI members, one
7 of the challenges is a machine that has the capacity
8 to evaluate meritorious research.

9 Of course, who decides what is meritorious?

10 As well as the research community that
11 brings forth them. You know, those of you familiar
12 with administrative budgets, you can always spend
13 your budget by the end of December 31st. But the
14 question of whether you were doing things of the
15 highest and greatest value for the entity you're
16 budgeting for, if you have to hit that is a
17 challenge.

18 And so, I think PCORI as a whole, has had
19 to struggle with mixing a desire to bring forth
20 valid things to a society and having the capacity to
21 evaluate them and having the input to do that.

22 I would offer the hypothetical that if we

1 knew there were ten world-changing research
2 questions that could be answered next week with a
3 \$100 million each, and we had the capacity to know
4 that, we might do something different, but it's not
5 so easy to come to know that.

6 Kate, thank you.

7 MS. BERRY: Thank you so much. And I think
8 the direction is good.

9 I did just want to maybe go back to James
10 Schuster's earlier comment, and you know, I think
11 oftentimes it's not super clear that health insurers
12 do contribute significantly to the PCORI budget. So
13 not everyone typically knows that publicly or even
14 on the Board, I think.

15 And so, it might be helpful, just to at
16 some point, to make it clear which type of insurers
17 and what the contribution is and how that process
18 works. I realized James sort of mentioned like the
19 shifting of potentially people moving from Medicaid
20 to Affordable Care Act and other coverage, that that
21 may have an implication, but just in terms of
22 awareness, I think it might be helpful for folks to

1 understand sort of, you know, how that works. Thank
2 you.

3 CHAIRMAN HOWERTON: Well, thank you. And
4 you've highlighted some of that, but am I hearing
5 you ask that the team bring forth a little bit more
6 clarity on that as well as the comments you've just
7 made?

8 MS. BERRY: I think that would be helpful.
9 Thanks.

10 CHAIRMAN HOWERTON: Did you hear that
11 Nakela and Brian?

12 DR. COOK: Yes. I think we did hear that
13 in terms of bringing forth more information on the
14 revenue sources and we can --

15 CHAIRMAN HOWERTON: For the clarity of the
16 insurance plans.

17 And Bob, I apologize, I skipped you in the
18 list.

19 DR. VALDEZ: No problem. I just want to
20 build on something that Ryan also raised, and that
21 is to have a strategy for unobligated funds.

22 And in particular, there was so much

1 enthusiasm in some of the discussion yesterday about
2 the big projects that you just kind of described,
3 just as one of your end areas, but also projects
4 that potentially are interventions that require more
5 than the three-year time limit. Things that take a
6 little longer. So that we have a way of using our
7 unobligated funds to build for those kinds of
8 potentially larger projects with higher potential
9 impact, but maybe riskier, or those that are
10 interventions that require longer periods of time to
11 demonstrate effect that don't fit within our current
12 framework or fit within in the NIH framework, but
13 allow us to do something quite unique.

14 And part of that can be done as a result of
15 taking on obligated funds and building a pool for
16 those kinds of higher risk issues or longer risk
17 issues or whatever it happens to be.

18 CHAIRMAN HOWERTON: Thank you. And if I'm
19 not mistaken, we are the people that would direct
20 the team to do that or not do that. So we would
21 need to deliberate that. It is our decisions that
22 will influence how they are doing that. The process

1 they've outlined now reflects some of the Board's
2 thinking over the last several years that directed
3 this.

4 MR. TRENT: And I would just also add that
5 we have a special initiatives category that is a
6 part of our commitment planning process. So for
7 those things that we're not planned, we could put
8 them within that particular -- utilize that
9 particular category for funding items.

10 CHAIRMAN HOWERTON: And I think Chris
11 Friese is next. But I will comment, before we go to
12 Chris, our challenge is the benefit to society that
13 we hope to bring with these investments.

14 We have some considerations of when that
15 benefit will accrue so that we are well situated to
16 see if society wants to make this investment again
17 in 2030. So there's multiple dimensions to that
18 time. Chris.

19 DR. FRIESE: Sure. This is a great
20 discussion. We're in such a fortunate spot because
21 of the great leadership here, so thank you everyone.

22 I'm going to -- some of my comments were

1 already pulled in, but I think we probably have an
2 approximate sweet spot.

3 What would help me from representing an
4 investigator community is, you know, and PIs and
5 science teams are looking at pay lines as a strategy
6 to decide where to send their best work and where to
7 send their work first. And I think having this
8 conversation alongside the relative, I know pay line
9 is slightly different in the PCORI context, but I
10 think that's a helpful lens to make sure that we
11 have a competitive pay line.

12 Of course, we only fund meritorious
13 proposals, but we are open for business and we are
14 working with investigators and our pay lines are
15 positive and are seen positively by the community as
16 an important part of our future success, number one.

17 Number two, I think going back to something
18 I believe Dr. Valadez just said and the prior
19 speaker, I think we can take, and we have recent
20 experience of using supplements as a strategy. I'm
21 familiar with other institutes that look at, you
22 know, Q3 into Q4 and then are able to move

1 supplements in a relatively expeditious manner on
2 various high impact well-aligned to our strategy,
3 kind of effort. So I think that work can continue.
4 And maybe we need to come back with a more explicit
5 direction for those, they're not discretionary, but
6 available funds that are not yet fully committed.

7 So I think that's our work ahead.

8 But I think we also want to make sure that
9 while we do this work, we believe that we would
10 support a positive pay line that would be attractive
11 for investigators to look to PCORI first for their
12 proposals.

13 CHAIRMAN HOWERTON: Thank you. I believe
14 Kathleen, are you next?

15 MS. TROEGER: Thank you, Russ. Thinking
16 just overall, I strongly support the targets are
17 helpful, so I think that's really one of the
18 questions here. Like how do we feel about this? So
19 I think it's good to have a target.

20 I support the notion of frontloading. I
21 think it's an affirmative and aggressive stance to
22 take and it may help us attenuate and eliminate some

1 of the underspending just by, again, giving us that
2 sort of a target.

3 One of the things I would find very helpful
4 for June, is to see sort of the burn rate or the
5 burden of the existing studies, Brian, tailed over
6 time so that we know what our unobligated is and
7 we've got those targets, but also to then, not just
8 the delta, but sort of how we're doing against it.

9 Particularly, as studies may begin to -- if
10 we're successful on this, right? The gap should
11 close and we should be more fully funding out. But
12 if we start to see studies either end early or
13 coming under budget or whatever it is, we just want
14 to keep an eye, I think, on what the gap is between
15 what we forecast and where we are.

16 MR. TRENT: Thank you.

17 CHAIRMAN HOWERTON: Thank you. Are there
18 other comments that I have not heard?

19 Now, Brian and James correct me, I believe
20 there is not a specific action item today. We are
21 informing and illuminating.

22 MR. TRENT: That's right.

1 CHAIRMAN HOWERTON: It's the June meeting,
2 we'll see a specific action item about the three-
3 year plan. Is that right?

4 MR. TRENT: No, that will be in December.

5 CHAIRMAN HOWERTON: I mean in September.
6 I'm sorry.

7 MR. TRENT: Yes. In June, we'll just be
8 discussing --

9 CHAIRMAN HOWERTON: Carrying a little bit
10 deeper into what Danny was saying.

11 So today we just leave everyone with food
12 for thought on this. This is our charge. We are a
13 board meant to improve the outcomes in America with
14 this resource. And how we do it is -- I mean, how
15 we choose to allocate those funds is one of our most
16 important topics.

17 I don't know if this means everyone wants
18 to get to a break sooner, but you are ahead of the
19 schedule now. Perhaps we should turn to our next
20 agenda item early and we may get just a slightly
21 longer break. Would that be correct?

22 DR. COOK: We could go to a break now.

1 CHAIRMAN HOWERTON: Or we could go to a
2 break now. So let me consult with my colleague here
3 and see what we should decide to do best.

4 [Pause.]

5 CHAIRMAN HOWERTON: How about right now? I
6 think people are looking at me like the answer is
7 clear. So break now. Resume at 11:00. Thank you
8 very much.

9 For those online, if I'm not mistaken, you
10 need to not disconnect from the webinar, but since
11 you would be on camera you should probably mute and
12 turn your camera off and then turn them back on when
13 you return at 11:00.

14 Is that accurate?

15 DR. COOK: That's accurate.

16 CHAIRMAN HOWERTON: Okay. Thank you.

17 [Recess.]

18 MS. THOMPSON: Hello, we're going to have
19 everybody sit down now. We're going to go ahead and
20 reconvene. Thank you.

21 CHAIRMAN HOWERTON: Thank you. It's almost
22 11:00, so we have just a moment more. Hopefully,

1 those online have returned back to their cameras and
2 microphones.

3 I was telling my Co-Chair here, it's going
4 to take me a moment to reorient since we've altered
5 our script and we've stuck so close to it, I'm
6 slightly confused.

7 But I believe, next up, we are at a very
8 important strategic discussion about PCORnet and
9 PCORnet infrastructure funding. Am I correct?

10 DR. COOK: Yes.

11 CHAIRMAN HOWERTON: All right. And I
12 believe, Erin, are you taking the lead in presenting
13 for us? She is our Chief Research Infrastructure
14 Officer, and she's accompanied by Laura Forsythe,
15 who is our Director of Evaluation and Analysis. And
16 they are going to provide context to support the
17 Board's strategy discussions around PCORnet.

18 Following the strategic discussion, the
19 Board will be asked to consider approving the
20 commitment of funding to advance enhancements and
21 innovations in PCORnet infrastructure.

22 And now I'll turn it over to Erin. Thank

1 you.

2 DR. HOLVE: Thanks, Dr. Howerton.

3 Laura and I are really pleased to join you
4 this morning to discuss our progress to-date on the
5 Board's strategies to leverage PCORnet to advance
6 PCORI's National Priorities for Health and Evaluate
7 PCORnet Performance. Next slide, please.

8 So there are a lot of fantastic activities
9 to share and I want to leave plenty of time for
10 discussion and to hear feedback from the Board on
11 future directions.

12 So in brief in this presentation, I'll
13 provide a little bit of background on PCORnet and
14 the Board-approved strategies, Laura Forsythe will
15 provide an update on our evaluation efforts, and I
16 will review work underway to further address the
17 team's progress to-date, advancing the Board-
18 approved strategies to leverage PCORnet for patient-
19 centered comparative clinical effectiveness
20 research.

21 And then we'll turn to discuss and consider
22 approval of funding to support continued progress

1 towards Board-approved strategies to leverage
2 PCORnet for CER.

3 As we'll discuss, this proposal is
4 essentially an update on conversations regarding
5 infrastructure commitments that were originally
6 anticipated for FY '22 to support advancement of
7 Board-approved prioritizing principles. The plan to
8 propose \$16 million in commitments was deferred as
9 staff reengaged with the PCORI Priorities Work Group
10 culminating in the approved PCORnet strategies in
11 December. And of course, we'll leave plenty of time
12 for discussion. Next slide, please.

13 As a brief reminder for members of the
14 public participating today, PCORI funded PCORnet to
15 support a national resource where high quality
16 health data, patient partnership, and research
17 expertise deliver fast, trustworthy answers that
18 advance health outcomes.

19 PCORnet, as you can see on this slide, is
20 comprised of eight clinical research networks and
21 coordinating center activities, which collectively
22 represent more than 40 participating health systems

1 and several thousand clinical sites. With more than
2 30 million patients available for clinical trial
3 recruitment, PCORnet is comparable to the nation
4 demographically.

5 As noted on this slide, more than 30
6 national scale PCORnet studies have been conducted,
7 including adaptable a study, finding the best dose
8 of aspirin for people with known or existing heart
9 disease to prevent death or another heart attack or
10 stroke. These large research projects are a subset
11 of hundreds of research studies in more than 500
12 published manuscripts using PCORnet.

13 Between 2017 and 2021 alone, PCORI funded
14 28 studies using PCORnet for a total of \$180 million
15 in committed research funding. Next slide, please.

16 As mentioned earlier, the Board guided
17 development of PCORnet through two key recent
18 activities.

19 In 2021, the Board developed and approved
20 the prioritizing principles for infrastructure
21 funding relating to PCORnet, which was subsequently
22 used to guide the structure and support for the

1 current Phase 3 of the network, which continues
2 until December 2024.

3 In 2022, building upon these principles,
4 the Board convened the PCORnet Strategies Work
5 Group, which met regularly over a seven-month period
6 to develop the strategies to leverage PCORnet to
7 advance PCORI's National Priorities for Health and
8 Evaluate PCORnet Performance, which guides future
9 PCORI activities with PCORnet, including
10 infrastructure funding and performance evaluation.
11 These strategies were approved by the Board in
12 September 2022, and both of these resources are
13 publicly available on PCORI's website. Next slide,
14 please.

15 Here you can see a summary of the
16 dimensions of the Board strategies and a focus of
17 our evaluation activities. Both emphasize the
18 overarching goal to use PCORnet for CER by
19 maintaining and expanding the infrastructure to
20 support PCORI's National Priorities for Health and
21 to facilitate effective use of the network by
22 partnering research organizations.

1 It's a testament to the PCORI research data
2 and technology team who manages the PCORnet program
3 that strong progress has and continues to be made
4 across all of the Board-approved. strategies.

5 We'll circle back to some highlights and
6 next steps related to the work group's primary focus
7 and activities to-date with respect to funding
8 research using PCORnet, as well as efforts to
9 enhance the PCORnet infrastructure to continuously
10 learn and improve.

11 However, we're going to start with some
12 important updates from Laura Forsythe regarding
13 PCORnet evaluation. So, Laura.

14 DR. FORSYTHE: Thank you, Erin. And while
15 we've made progress planning and implementing each
16 of the strategies related to evaluation for PCORnet,
17 I'm going to focus today on the strategies related
18 to the development of a maturity model and also
19 related to incorporation of needs and perspectives
20 from a variety of PCORnet stakeholders, as those are
21 the two where we've really had the most significant
22 emphasis so far. Next slide, please.

1 We're implementing the evaluation
2 strategies through a series of activities.

3 We're currently finalizing a complete
4 maturity model that defines what success looks like
5 for PCORnet performance at three stages of maturity
6 in seven different domains, like those such as
7 research and governance. The maturity model will be
8 the foundation and guide for what we evaluate at the
9 appropriate times, how we will go about that work,
10 and also how we interpret what we discover through
11 those evaluations.

12 This year, we're also focused on an interim
13 assessment that is meant to address the key
14 questions that the Board Work Group for PCORnet
15 strategies, that Erin referred to, identified as
16 being crucial for the Board to understand in order
17 to consider and plan for both baseline functions of
18 PCORnet as well as innovations and expansions.

19 A key element of the interim assessment is
20 an external assessment of the user experience of
21 PCORnet with a particular emphasis on experience
22 with the front door. The front door is really the

1 one stop shop for information about using PCORnet.

2 We defined users broadly, including people
3 who have used the front door or have used PCORnet
4 data, as well as researchers who have not yet used
5 PCORnet but are potential users, and also network
6 partners.

7 In the assessment, we'll use a mixed
8 methods approach, including individual and group
9 interviews, surveys, and also a public stakeholder
10 convening to gather input on successes, challenges,
11 and opportunities for PCORnet. We will supplement
12 that work with surveys of other stakeholders related
13 to their awareness and perceptions of PCORnet. And
14 also beginning to work with the coordinating center
15 to revamp the PCORnet dashboard to more clearly
16 showcase uses of PCORnet research resources and
17 results of PCORnet-powered studies.

18 While the interim assessment is more
19 focused on activities and signals of progress
20 towards PCORI's goals for PCORnet, we are also
21 planning for longer term, ongoing assessments of
22 PCORnet performance, including a more summative

1 assessment of impact of infrastructure investments.
2 And we will do that once there's been more adequate
3 time and opportunity for those elements to really
4 develop and manifest. Next slide, please.

5 This slide shows a timeline of the key
6 activities related to that interim assessment.
7 We've already launched and have underway a contract
8 for the external assessment of user experience and
9 we plan to have a preliminary discussion with you in
10 June about what we're learning, and a more complete
11 discussion in September after all of the components
12 of that interim assessment are completed. And so,
13 that we can then discuss those findings and use them
14 to inform the ongoing planning for PCORnet.

15 I'm going to hand it back to Erin. Thank
16 you.

17 DR. HOLVE: Great. Thanks so much, Laura.

18 It really has been fantastic to work with
19 Laura and her terrific evaluation and analysis team
20 on this effort. And you can already see the
21 fantastic progress we're making to-date.

22 So I want to turn now on the next slide,

1 please, to highlight some of the work implementing
2 the Board-approved PCORnet strategies. Hopefully,
3 this list which is really a set of examples, will
4 give you a flavor for the momentum generated by the
5 Board work group and the activities it has
6 catalyzed, which are helping to optimize the value
7 of using PCORnet for CER.

8 First, the PCORI team has worked closely
9 with our colleagues in the CER Division with
10 leadership from Tracy Hwang, Steve Clauser, and
11 Laura Reineck, and with Carolyn Best and the
12 operations team, to highlight PCORnet capabilities
13 in supporting PCORI's broad pragmatic studies.

14 We're very excited by this joint direction
15 to explicitly promote the capabilities of the
16 network to conduct national scale PCORnet studies in
17 order to generate definitive findings to advance
18 decision-making

19 Second, the network has built and continues
20 to expand capacity to advance PCORI topic themes by
21 engaging experts from across the country to provide
22 perspectives on ways PCORnet may be used to support

1 PCORI's work in both preventing maternal morbidity
2 and mortality, and improving outcomes for people
3 with intellectual or developmental disabilities.

4 Third, the PCORnet Coordinating Center is
5 leading efforts to build capacity to enhance the
6 PCORnet data infrastructure by leveraging annual
7 data updates to expand the PCORnet common data model
8 to incorporate the most widely adopted patient
9 reported outcomes, including measures of depression
10 and food insecurity.

11 Last, but certainly not least, PCORI staff
12 is enhancing PCORnet visibility and researcher
13 engagement by bringing the front door where it's
14 needed. Which work group members will recall, was a
15 particularly popular exhortation by Bob Zwolak, who
16 Chaired the PCORnet Priorities Work Group with Kara
17 Ayers. So hence, the front door logo.

18 This was a key priority for our work in
19 2023, and we're continuing to develop some of these
20 opportunities for new consultation and training for
21 researchers to work directly with the coordinating
22 center front door, as Laura mentioned, to serve as

1 that one stop shop for information on using PCORnet
2 for research. Next slide, please.

3 So before turning to our next steps, I want
4 to re-emphasize that our primary focus in this
5 current phase of network development is using
6 PCORnet for research.

7 The two recent studies on this slide
8 demonstrate why PCORnet continues to generate
9 attention and highlights the range of research
10 opportunities using the network, as well as the
11 reach and usefulness of PCORnet to conduct research
12 on important health questions.

13 The first on racial and ethnic disparities
14 in outpatient treatment of COVID-19, is powered by
15 PCORnet. And I should note that even since the data
16 was pulled with this Altmetric score, that Altmetric
17 score has actually doubled since that time. So both
18 of these are in the top 5 percent of all, you know,
19 papers reviewed by Altmetric.

20 The second, which is detailing the
21 development and identification of four subphenotypes
22 for Long COVID is one of more than 30 national scale

1 PCORnet studies that have been developed using the
2 network. These studies have the scale to generate
3 the kind of definitive findings to guide decision-
4 making and incorporate strong patient outcomes as
5 directed by the Board. The depth of these
6 approaches to fully leverage the capabilities of
7 PCORnet as a national resource is apparent.

8 And again, as I mentioned, both papers
9 which were published very recently have very high
10 Altmetric scores and, you know, are in the top
11 groups of their publications. And believe Nakela
12 has shared some of this in the past as well.

13 So again, just a real reflection of the
14 breadth and scope of research that can be done using
15 PCORnet.

16 And of course, I'm happy to come back to
17 any of these activities and other uses of the
18 network for further discussion. Next slide, please.

19 So today you know, in planning commitments
20 for the third phase of PCORnet, the Board made a
21 distinction between funding to support ongoing
22 maintenance of the network and a separate need to

1 support enhancements to promote ongoing innovation.
2 This framework was reiterated in the Board-approved
3 strategies.

4 In 2021, the Board considered how best to
5 support ongoing innovation and plan funding in
6 alignment with the Board-approved prioritizing
7 principles. In FY '22, this activity was deferred
8 to seek input from the Board leadership on the
9 PCORnet strategies.

10 So since that time, PCORI's research data
11 and technology team, led by Claudia Grossmann and
12 Kim Marschhauser, has proposed a rich set of
13 activities to promote achievement of the goals the
14 Board outlined to continuously improve and enhance
15 the network. The team today is proposing four
16 activities of work for enhancement.

17 The first is supporting PCORnet coverage to
18 enhance comparability to the United States
19 population. The second is building support for
20 PCORI's programs and national priorities for health.
21 The third is enhancing data capacity with patient
22 reported outcomes, including a deeper workflow

1 assessment and design pilot effort. And the fourth,
2 are enhancements to promote efficiency in patient-
3 centered CER using PCORnet. Next slide, please.

4 So for the first of these, I want to harken
5 back to actually even the earlier conversation about
6 the heat map. So here you have a nice heat map of
7 PCORnet. And it's interesting, on a few of the
8 slides some of the colors are showing up a little
9 bit different. So I will just emphasize while there
10 are a few sort of lighter spots on this map, you can
11 see really that at least geographically from this
12 depiction, PCORnet has quite good coverage again,
13 and that's reflective of the 30 million patient
14 encounters we see and then the 60 million, if you go
15 back five years.

16 So the Board strategies directed PCORI to
17 invest in PCORnet infrastructure to continuously
18 learn and improve by accelerating participation of
19 diverse, underrepresented, and underserved
20 populations, and supporting PCORnet coverages
21 comparable to the general United States population.

22 The approach proposed by staff will

1 leverage administrative efficiencies in the network
2 by working with the clinical research networks to
3 add data sites to enhance the demographic coverage
4 of PCORnet. Dimensions of focus will include race,
5 ethnicity, geography, including rurality and
6 socioeconomic status.

7 Past experience has demonstrated that site
8 expansion is by far the most efficient strategy to
9 enhance participation in PCORnet, since the CRNs are
10 both expert in onboarding new sites in transitioning
11 health systems and clinical site data to the common
12 data model, and they're also able to develop query
13 capacity, as well as the capacity to identify and
14 engage site-level community members and researchers
15 to participate and conduct PCORnet. Next slide,
16 please.

17 So turning to the second opportunity, the
18 Board directed PCORI to use PCORnet to fund research
19 that advances PCORI's National Priorities for Health
20 with an explicit emphasis on intellectual or
21 developmental disabilities, maternal morbidity and
22 mortality, health equity, and rare disease research.

1 As I mentioned before, staff has already
2 made substantial progress in this direction with the
3 work with the IDD and M and M Capacity Building
4 Project, and PCORnet queries underway with report-
5 outs on both of these areas planned for the fall of
6 2023.

7 With the Board's approval of the topic
8 themes, several of which will be discussed today,
9 there are great opportunities to further leverage
10 the network to enhance understanding of the
11 characteristics of PCORI topic themes, which can
12 support PCORI's operational and strategic priorities
13 using PCORnet, again, as directed by the Board.
14 Next slide, please.

15 In ongoing discussions with the Board, the
16 Research Transformation Committee, the PCORnet Board
17 Work Group, as well as feedback from PCORnet network
18 partners and the broader set of PCORI stakeholders,
19 expanding the network's capability with patient
20 reported information continues to be a high
21 priority.

22 In 2022, PCORI completed a set of data

1 enhancement discussion papers, working with the
2 network to evaluate current capabilities and social
3 determinants of health data, patient reported
4 information, and CMS claims data. While we have
5 ongoing efforts to incorporate SDOH data and CMS
6 claims data in response, the discussion papers make
7 it clear that there is a greater need to focus
8 explicit attention on harmonizing data in PRO and
9 rethinking the most effective approaches to
10 collecting patient reported information.

11 Specifically, though patient reported
12 information data collection, and particularly,
13 patient reported outcomes data collection is common
14 among health systems participating in PCORnet, there
15 is substantial variation in the measures that are
16 collected, as well as the way these data are
17 collected across systems.

18 For this reason, the team is proposing a
19 PRO infrastructure design project to dig deeper into
20 operational factors influencing PROs, in particular.

21 Several Board Members have already offered
22 helpful guidance on this approach, which aligns with

1 the network's interest in engaging patients,
2 researchers, and health systems, and to conduct
3 site-level analysis to better understand the current
4 workflows for collection and use of common PROs as
5 well as rethinking a design approach to most
6 efficiently collect PROs across the PCORnet partner
7 sites for prospective PCORnet studies based on the
8 findings of the workflow analysis. Next slide,
9 please.

10 Third, there are key areas of enhancement
11 and opportunities to continuously learn and improve
12 by enhancing data quality and network research
13 capacity by promoting the efficiency of the network
14 to conduct CER and PCOR with respect to
15 administrative efficiency, technical capacity, and
16 self-service to promote more engagement and also
17 some of our workforce development goals.

18 I'm not going to go through some of these
19 candidate projects in detail. However, the concepts
20 of improving alignment between IRBs participating in
21 PCORnet, potential enhancements to technical or
22 server capacity, and so forth, will likely be

1 familiar.

2 All are important, align with the guidance
3 the Board provided through the principles and
4 strategies. And in addition, these reflect the
5 feedback from network members, federal and other
6 research partners, and all have been assessed to be
7 feasible at this juncture.

8 So staff proposed to work with a network to
9 further assess the priority order of each of these
10 with respect to the timeliness of specific
11 opportunities presented to support CER as well as
12 budget. Next slide, please.

13 PCORI staff in sum, is pleased to report
14 our substantial progress and come back to the Board,
15 as we said we would this last September, to provide
16 additional information on our tactics to implement
17 the Board's strategies using PCORnet. This
18 discussion picks up on prior conversations and
19 planning to fund support to advance the Board-
20 approved prioritizing principles, which was deferred
21 in FY '22 to FY '23, as staff reengaged the Board
22 Priorities Work Group to define cross-cutting

1 strategies to leverage PCORnet.

2 These incremental commitments will further
3 support advancements of the Board's direction and I
4 am very happy to take questions. Laura and I both
5 are.

6 CHAIRMAN HOWERTON: Thank you very much
7 Erin and Laura.

8 Before I open the floor up the questions
9 from the Board, one housekeeping detail. Do you
10 know if we were using the front door logo before Bob
11 left the Board? And if we were not, could I ask
12 that someone reach out and share it with him so that
13 he is aware of that? That would be much
14 appreciated.

15 DR. HOLVE: I'm happy to do so. I actually
16 thought about trying to get Bob a front door pin
17 before he left because I know he was particularly,
18 you know, appreciated that logo.

19 CHAIRMAN HOWERTON: All right. I would
20 appreciate that. And one more visual display of
21 information question.

22 I think most of us have an intuitive sense

1 of the density of the population of the U.S. across
2 a heat map like that, but you know, of course, North
3 Dakota has very few people, so there will be very
4 few patient encounters. We probably need to have a
5 comparative display of the population density per
6 square mile with the patient encounters in whatever
7 geographic area you're having, because what looks
8 like a gap is probably not a gap.

9 And our real metric is the difference
10 between the national ratio of patient encounters in
11 the population and the density in a given area and
12 we may be overcovered in some areas. I don't know.
13 It depends upon how small a unit.

14 Are you going to state level or HSA regions
15 or what is the region you're picking there?

16 DR. HOLVE: So I believe these are down to
17 the five-digit zip code level in the heat map. But
18 I can confirm that. And I do actually have some of
19 the state level encounter data in my trustee binder
20 here.

21 But you're absolutely right, Russ. That's
22 one of the main thing takeaways, I think, as I look

1 at the data is just how many people are in each of
2 those locations and it can't almost get obscured by
3 a heat map because you know, some of the --

4 CHAIRMAN HOWERTON: Yeah, we probably don't
5 need, for macro purposes we're talking about states,
6 but 30 million is like 10 percent of the U.S.
7 population. So if we have encounters 10 percent
8 everywhere, that's good if we have 50 percent in
9 some places, 3 percent in others, it would inform
10 the Committee's work.

11 DR. HOLVE: Right. And can I just add
12 there's, and again, if anybody wants a list to see a
13 list of the participating health systems and major
14 sites, I'm happy to provide that, but it has a lot
15 to do with, you know, which health systems,
16 obviously.

17 CHAIRMAN HOWERTON: Yep. Well, thank you
18 and I would open the floor up for discussion.

19 Jen and I are going to partner, and we
20 established that I am not so good individually at
21 keeping up with who asked what questions, and so Jen
22 is going to chaperone me in this regard. I'm not

1 even good at who was first.

2 DR. DeVOE: I saw Mike, Bob, Zoher, Danny,

3 DR. HERNDON: Thanks. So what is the
4 process for monitoring the appropriate use of funds
5 with PCORnet?

6 I understand typical awards, how we run the
7 grant process for our traditional awards. But for
8 PCORnet, what is our process for monitoring the
9 expenditures and making sure they're being used
10 appropriately? That's the first question.

11 DR. HOLVE: Highly detailed. So I can go
12 back and certainly share with you as well, Mike, if
13 you want to look at sort of the flow down of
14 different responsibilities and direction.

15 The staff manages the clinical research
16 networks as they would any other contract, right?
17 These are cost reimbursable contracts. The scopes
18 are very clear. And then, before any funds go out
19 the door at all, they take a very close look at the
20 expense reports that have been provided and, you
21 know, deliver those funds on an incremental basis,
22 again, based on the work that has been performed.

1 So again, it's highly detailed and there's
2 a lot of attention that goes into making sure all of
3 the CRNs are meeting their milestones on the
4 appropriate timelines.

5 DR. HERNDON: Perfect. Thank you. And
6 then just a final comment, and more of a comment.

7 And as a non-researcher, so forgive my
8 ignorance in not being able to use the proper terms,
9 but we talked about an intervention, you know, some
10 act or positive step that impacts an outcome. But
11 I'm also interested in gap analysis of where people
12 don't get a service that they need that impacts.

13 So as we talk in the future about PCORnet,
14 I'd like for us to -- and it goes back to the value-
15 based purchasing kind of, and the quality outcomes.
16 You know, what type of research question is there
17 for gap analysis and that payers can use to say how
18 do we improve the treatment gaps and what is
19 consistent among the different types of participants
20 who are putting this data into the huge data that we
21 compile through PCORnet to give us some grasp of
22 what quality looks like and how to address the

1 treatment gaps.

2 So just, I don't know how to say that any
3 smarter than that. Sorry.

4 DR. HOLVE: Yeah, I much appreciate that
5 comment and it speaks to a longstanding set of
6 research interests of mine as well. So I really
7 hear you on that. I think this is where the Board's
8 leadership and direction to us about as the work
9 group and the strategies talk about how PCORI can
10 use PCORnet to inform our thinking about these types
11 of questions. Where there are gaps? Where there
12 are opportunities to conduct some of the high impact
13 research that was discussed the other day?

14 So I think there's a lot of excitement, not
15 only I think among the Board Members that I've
16 talked to, but also among the network members in
17 using PCORnet in that way. But we will very much be
18 looking for the Board's direction about the
19 appropriate strategy to do that type of work, and
20 certainly hear your point about gap analysis.

21 Nakela, do you want to add to that at all?

22 DR. COOK: I guess one of the things that

1 brings to mind is the opportunity for the types of
2 queries that really can inform priority setting and
3 as we move into thinking about discussions that lead
4 to the next phase for PCORnet. This may be an
5 opportunity to hear from the Board a little bit more
6 about the types of embedded strategies that we'd
7 want to have in Phase 4 that could provide
8 opportunities like that.

9 DR. DeVOE: Bob was next, and then Debbie.

10 DR. VALDEZ: Mike, prevention is primary.
11 And that's a great idea that we should look at using
12 it to understand that issue and the value and the
13 quality of care that people are receiving or not
14 receiving.

15 And let me just come back. Is there an
16 opportunity to learn more about the maturity model?
17 You've described it in the materials, but I couldn't
18 find it described in any kind of detail anywhere.
19 So if that could be shared with us, so we can
20 understand the evaluation that you're doing and what
21 measures we're looking at.

22 DR. FORSYTHE: Yes. There's a few things

1 we can share. We can share more about the
2 information in the document that came out of the
3 work group that describes where we left off. And
4 that work group articulated what we called the
5 advanced vision, kind of the future state ideal
6 across those seven domains of PCORnet.

7 So we can share that now and then as we are
8 coming into the final stages, I think there'll be
9 some additional information we can share. It's
10 really going to be the foundation then for our
11 structuring of our structuring of our key evaluation
12 questions about PCORnet. We want to align them with
13 the appropriate point on the maturity model and
14 derive the metrics and measures that we would use in
15 our tactical plans for doing that, really based on
16 the maturity model.

17 DR. VALDEZ: Thanks. I wanted to be able
18 to understand that when you present it.

19 DR. FORSYTHE: Great. Thank you.

20 DR. VALDEZ: And comparability is really
21 the key here, I think, and I'm fully in favor of us
22 trying to provide the support that's necessary to

1 make the comparable U.S. population.

2 But it gets to this issue that Russ raised
3 at the beginning of this discussion about what do we
4 mean by comparable and is it simply the encounters
5 criteria? Is that adequate?

6 For me, it's not because we know there's
7 this vast diversity in the way medicine is practiced
8 east of the Mississippi and west of the Mississippi,
9 north and south, and how we think about those
10 geographic regions I think is really important.

11 From my own perspective, when I've been
12 doing this kind of research, regional representation
13 to get a comparable population, that's been
14 extraordinarily important, even if it's means going
15 to Montana. Because the borderlands north or south
16 are very different from other parts of the country
17 where you may have a single encounter, whereas in
18 other parts of the country you might have three or
19 four encounters for the same problem or issue.

20 And so, I think we have to think really
21 hard about how we want that's comparable population
22 to be built. For example, the heat map you showed,

1 for me before I joined the administration, I was
2 doing a lot of work in the southeastern states and
3 the southeastern states is really the home of the
4 Stroke Belt, and I really couldn't study that sort
5 of cardiovascular issues without having a
6 recognition of where the populations at highest risk
7 were located and having access to information about
8 that.

9 So to the extent that we want to map this
10 onto our national priorities, we need to understand
11 where those risk populations are so that we actually
12 have a comparable population to draw from that gives
13 us some information about both, the social and
14 economic circumstances, within which those
15 populations live and work in which affect their care
16 delivery and their ability to take advantage of the
17 highest technologies available and treatments
18 available for people to recover.

19 CHAIRMAN HOWERTON: Before you go to the
20 next one, I'm interpreting you've asked for a more
21 sophisticated heat map than I was. I was thinking
22 of just raw population and you're really thinking of

1 for our National Priorities for Health, a separate
2 heat map for the density of those problems across
3 the nation. That's a wonderful increment.

4 And then one last, I think I heard you ask,
5 there's something, Laura, you're going to forward
6 after the meeting to all Board Members as a follow
7 up to his request about the maturity model from the
8 work group. Is that right?

9 DR. FORSYTHE: There is an existing
10 document.

11 CHAIRMAN HOWERTON: That's what I mean.

12 There is an existing document, so that
13 should be able to come in a few days after the Board
14 meeting that we'll all get that.

15 DR. HOLVE: And Russ, can I just add real
16 quickly yeah. Bob, I completely appreciate your
17 point. And I think one thing I don't want to lose
18 in this discussion is, this is going to be one of
19 the first opportunities, I think for the network, as
20 a whole, to think about this question of
21 comparability together.

22 And so, I see really tremendous rich

1 discussions exactly along the lines that you're
2 describing. As well as a dimension, I think, you
3 know, I know from our work group discussions, really
4 mattered to a lot of the work group members and the
5 Board, which is research readiness. Right?

6 So, you know, critical to this whole effort
7 is we want a network that can do both high-quality
8 observational studies as well as be ready to do the
9 pragmatic trials. So we have to have an eye on both
10 of those objectives in thinking about these sites.
11 So, I think we're going to learn a tremendous
12 amount, even though, you know, one of the components
13 that we've outlined here that we'll be able to bring
14 back for further discussion with the Board.

15 And you know, and really, we are I think
16 ready to move forward very rapidly on that on that
17 piece of our effort. And so, I look forward to
18 having those conversations with you all in the near-
19 term.

20 DR. VALDEZ: Thank you.

21 DR. DeVOE: Debbie. And then, Zo.

22 DR. PEIKES: Thank you. So I'm probably

1 the perfect epitome of a researcher who should know
2 more about PCORnet and does not.

3 And I'm just wondering if you've done any
4 outreach work with places like Academy Health or CDC
5 Foundation, Medicaid Directors, other broader users,
6 but I think would find it very valuable to the
7 research.

8 DR. HOLVE: So thanks so much, Debbie.

9 This is definitely a space to watch. We
10 are in the process of developing more kind of
11 workshop offerings to help folks get deeper with the
12 data because we know that just hearing kind of a
13 brief pitch, much less a 90 second overview of
14 PCORnet only goes so far.

15 But we have a whole series of presentations
16 planned. Obviously, the last several years of the
17 pandemic put a damper on a lot of professional
18 meetings, but we are going to kind of, you know,
19 pound the pavement over the next year to do exactly
20 that. And again, we'll welcome the Board's feedback
21 on those strategies and spaces you think we should
22 be because we know this is one of the huge efforts.

1 And again, something that Bob and Karen, others on
2 the work group, you know, really indicated.

3 It's just essential to get the word out
4 that PCORnet is an open resource that may be used by
5 all researchers, whether or not you're affiliated
6 with the PCORnet site, and it's just imperative that
7 we get that word out there.

8 DR. PEIKES: Great. Happy to have help in
9 any way I can. I have lots of ideas of potential
10 stakeholders and avenues there.

11 I also had some questions about the race
12 and ethnicity data. Obviously, our goal is to have
13 gold standard self-reported data. I'm just
14 wondering what we know about the provenance of the
15 data and how we convey that to researchers as we
16 look for more work on disparities. I just want to
17 make sure researchers can distinguish between data
18 that may be, you know, a receptionist tag someone
19 versus self-reported versus imputed.

20 And so, they can really consider that as
21 they come up with equity measures.

22 DR. HOLVE: Yeah, absolutely. It's a great

1 question and I'm happy to share more information
2 from the code book. I think as most of us are
3 aware, race/ethnicity data in the EHR is notoriously
4 messy. So I always want to offer that caveat, but
5 happy to share more information and dig into the
6 details on the coding with you by all means.

7 DR. PEIKES: Thank you.

8 DR. DeVOE: Zo, and then Danny.

9 DR. GHOGAWALA: Thanks so much for this
10 overview of PCORnet and the opportunities. I'm
11 particularly impressed by the efficiencies that
12 PCORnet can offer and the opportunities with
13 harmonization of patient reported outcome data and
14 so forth.

15 And my specific question is, as we look at
16 PCORnet, do you have plans or have you already
17 looked at the cost of pragmatic trials using PCORnet
18 versus not? Because it seems to me that would be a
19 tremendous thing for us to be able to demonstrate
20 that we can perform pragmatic studies more
21 efficiently with PCORnet.

22 DR. HOLVE: So I really appreciate that

1 question, and it's one of the reasons that, again,
2 as the Board directed us, we're emphasizing the use
3 of PCORnet for these PCORnet studies. So there are
4 specific characteristics of conducting a PCORnet
5 study.

6 One that I'll just highlight a little
7 different than your question, is the ability to use
8 the brand and mark of PCORnet, which we, PCORI, have
9 responsibility for maintaining.

10 But with respect to your question Zo, I
11 think one of the critical issues of doing those
12 PCORnet studies is that we require the investigators
13 to report a pretty fine-grained set of metrics on
14 time to first patient recruited, you know, a number
15 of sort of key issues related to IRB approval, et
16 cetera.

17 And so, you know, we have about 30 of those
18 studies as I mentioned, that have been done and
19 we're just starting to kind of look at that
20 information and see what could be comparable to the
21 way that we collect data for other PCORI-funded
22 studies and others that are funded by some of the

1 federal partners who also use PCORI, or rather
2 PCORnet, to conduct some of their large-scale
3 studies, which fall into this designation.

4 So that's maybe a longer way of saying it's
5 complicated but we are really looking at that
6 information for the exact reason you asked your
7 question.

8 Nakela, do you want to add?

9 DR. COOK: I just wanted to add one other
10 thing, which the working group that really helped to
11 establish the strategies that the Board approved,
12 talked a lot about related to PCORnet and there was
13 this kind of thinking and when PCORnet was developed
14 that perhaps it would facilitate studies better,
15 faster, and cheaper.

16 And the work group really came back and
17 said, well, cheaper may not be one of the key things
18 to be thinking about. Because as we start to move
19 forward certain strategies that PCORI really wants
20 to see in these trials and studies, such as the type
21 of engagement, the type of reach to certain
22 populations, that it's not always cheaper.

1 And so, that's something that we certainly
2 wanted to make sure that we kept in mind as we moved
3 these strategies forward.

4 So I just wanted to mention that because I
5 do believe that there was a recognition of the work
6 group and subsequently the Board, of some of the
7 complexities of thinking about how you might do
8 things cheaper, so to speak.

9 CHAIRMAN HOWERTON: Privilege of the Chair,
10 I have one comment. But what you just said, it is
11 still cheaper in that you're getting a better
12 product because you're using the same resource base,
13 no less money, but getting more things. So same
14 concept.

15 DR. FORSYTHE: And if I may, I was just
16 going to use this as an opportunity to make some
17 connections to the maturity model we talked about.
18 Efficiency is one of the core concepts that's
19 reflected there in our domains about research and
20 operations and the model will offer us a chance to
21 articulate the path towards ultimately achieving
22 those goals of efficient research as we think about

1 what was involved in standing up the network, and
2 piloting it and starting to use it, and expanding
3 it, and really at what points are most appropriate
4 to then really get down to some of those numbers
5 you're thinking about and in what context.

6 DR. DeVOE: Getting to value.

7 Okay. We have Danny, Connie, and then
8 Ryan.

9 MR. VAN LEEUWEN: Thank you. Well, thank
10 you for this presentation, Lauren and Erin. It's
11 great.

12 I want to build on Mike talking about gaps
13 and Bob talking about the expanded heat maps, that I
14 think that PCORnet right now is based on data about
15 individuals and that public health data can be
16 gotten down to a nine-digit zip code level.

17 So we think about the maternal health and
18 we think about IDD, and we start looking at, you
19 know, violence -- rates of violence, air quality,
20 public space, transportation, drinking water
21 quality, access to fresh foods and vegetables,
22 infections in waste.

1 You know, I mean the availability of
2 looking at public health data that then can
3 identify, help to say, well, you know, if something
4 is low, something is high, something is medium, you
5 know, what's the difference then? And then to
6 integrate that with individual level data, I think
7 we'll be more likely -- if we just have individual
8 level data, we're not going to look -- identify gaps
9 because you're just going to look at what you're
10 looking at.

11 So how are you thinking about, like in
12 increasing the integration of public health data
13 into PCORnet?

14 DR. HOLVE: Thanks Danny. So this gives me
15 a great opportunity to talk about the collaborative
16 work that we've do been doing with our colleagues at
17 the Agency for Healthcare Research and Quality, they
18 have pulled together a very nice database on social
19 determinants of health with funding from the PCOR
20 Trust Fund, and we've been looking at the best way
21 to really align that data with PCORnet.

22 So the principal question at the moment is

1 whether or not we can do that at the five-digit zip
2 code level or the nine-digit level, which would
3 obviously be more powerful. We're still waiting on
4 a consult from the Office of Civil Rights to double
5 check on all of the -- particularly, the privacy
6 issues that are entailed in doing that. But again,
7 we see this as a great opportunity to work with our
8 colleagues at AHRQ and leverage the work they've
9 done in that space to-date.

10 CHAIRMAN HOWERTON: Does that answer your
11 question?

12 MR. VAN LEEUWEN: Sort of. Sort of because
13 my experience with stuff that is called social
14 determinants of health data is like a certain
15 flavor, but if we're thinking about it as public
16 health data, it's much broader.

17 And so -- yeah.

18 DR. HOLVE: So I can just say that we
19 remain, at PCORI, in terms of the work that we fund
20 as we're thinking about these enhancements, but also
21 that the clinical research networks themselves and
22 the coordinating center are actively interested in

1 those types of questions.

2 It often comes down to, you know, the
3 availability of the data, the cleanliness of the
4 data, those sorts of things. But certainly very
5 interested in doing that mapping wherever it's
6 possible.

7 Again, I think a critical technical
8 distinction is going to be where that mapping is
9 available at the five digit versus the nine digits
10 of code level, which will obviously be much more
11 precise and I think useful for the kinds of
12 questions that PCORI and some of our other federal
13 partners are most interested in.

14 MR. VAN LEEUWEN: So, you know, to me, like
15 those are refinement. Like this is like a road to
16 go down and then -- you know, it's going to, this
17 kind of public health data is notoriously uneven,
18 you know, it has its own set of challenges, but the
19 whole idea of starting to integrate and what do we
20 learn, and then we could do better here or whatever,
21 you know? I think it's road. So thank you.

22 I want to just say one more thing and that

1 is about, I'm really delighted to hear about the
2 work on the self-service query. Because, and I
3 would encourage you to include what are the networks
4 that are involved in the studies in the search
5 feature, and I'm not sure which dates are important,
6 like start of, completion of -- this is like, I
7 don't really -- I leave it to you, but something
8 about timing is part of the query.

9 And thanks for all you're doing. This is
10 amazing.

11 DR. HOLVE: Noted. Thanks.

12 CHAIRMAN HOWERTON: Thank you.

13 DR. DeVOE: Connie and then Ryan.

14 DR. HWANG: Great, thanks. Laura and Erin,
15 excellent update on the enhancements and innovation
16 for PCORnet. And I think what's more striking to me
17 and exciting, is this incorporation of patient
18 reported outcomes, social determinants of health,
19 potentially with payer claims data, some things that
20 are ahead and would love little early insight or a
21 deeper dive in terms of the participating in
22 clinical research networks.

1 I think, Erin, I think you said the other
2 day that there's a lot of enthusiasm, but I would
3 love to get a better sense of the opportunity and
4 challenges those sites may be feeling about that
5 kind of ambitious expansion and what ultimately
6 PCORI can do to really help all of those groups be
7 successful.

8 But I would love some of your thoughts on
9 that.

10 DR. HOLVE: Thanks Connie. So I think it's
11 fair to say that all of the network partners are
12 very excited about both of these directions and
13 probably some of them would love for us to go even
14 faster. As I mentioned, you know, there are some
15 genuine privacy questions right, that particularly
16 come into play when we're talking about some of the
17 social determinants of health data, and so forth.

18 I know Eboni couldn't join us today, but I
19 had some great conversations with Eboni that reflect
20 my own experience within systems regarding the way
21 that patient reported information is collected and
22 used.

1 And in my former role as a payer, in some
2 instances we were able to say, well, you shall
3 collect as a minimum set, X and Y and Z, and that
4 makes things a lot easier. PCORnet does not.

5 PCORnet is a federated network, it does not
6 exactly have that luxury, right. I sometimes say
7 that, PCORnet really is holding up a mirror to
8 facets of the U.S. healthcare system, and so I think
9 it's always important for us to bear that in mind.

10 The discussion paper that I mentioned that
11 was done both on social determinants of health data,
12 as well as patient reported outcomes. I think it's
13 so notable because it reflects that national
14 landscape and you see a tremendous diversity of
15 measures that are collected.

16 And again, I can also say that I know from
17 lots of my colleagues and friends who have worked on
18 implementation of PROs that, you know, the devil
19 really is in the details. So I just don't want to
20 undersell that and then that's a key reason that our
21 proposal really is to do that deeper dive and think
22 about some variety of strategies to resolve that

1 issue.

2 And that is exactly by the by, in our last
3 face-to-face meeting with our network partners, what
4 they said, too. They said, don't underestimate.

5 So I always want to make sure to reflect
6 their perspective on some of those types of issues.

7 I think, again, you know, as Danny was just
8 saying, with the social determinants of health data,
9 there's patchiness in that data and the public
10 health data as well. And so, I don't want to
11 undersell the challenges there. But again, this is
12 why, you know, I emphasize the collaborative
13 discussions we're having with AHRQ and ASBE about
14 using the social determinants of health database
15 because they've done a lot of that spade work to
16 look at some of the measures that really could be
17 comparable across the country.

18 And I think, I'm never one to reinvent the
19 wheel where we can work with partners. So hopefully
20 that addresses some of those areas. But I think
21 overall, just a lot of enthusiasm knowing these are,
22 as you said, are very, very important aspects of the

1 picture that we need to understand health and
2 support decision-making.

3 CHAIRMAN HOWERTON: Thank you.

4 DR. DeVOE: Ryan.

5 DR. BRADLEY: Yeah, thanks for that
6 overview. I have a couple of questions. So I hope
7 if you guys can always just give me the hook if I
8 ask too many.

9 But I hope the first one is the easiest,
10 which is can you just, maybe give a little bit more
11 detail about the distribution of the requested \$16
12 million across those four enhancements, even if
13 that's sort of back of the envelope.
14 Do you have an approximation?

15 DR. HOLVE: Yeah, so for the first, we're
16 looking at about \$4 to \$5 million we think. For the
17 second, about two, I think the remaining -- there's
18 sort of a balance of the last two in terms of their
19 scope. You know, I do want to reflect that I think,
20 you know, the first two in particular are really we
21 know exactly how to approach both of those.

22 For the other two, as I mentioned with the

1 workflow assessment, that may guide our strategy
2 instead of the balance of funding and where we see
3 priorities.

4 The same is true for some of the efficiency
5 enhancements, right?

6 So if we, you know if the Board approves
7 and we start having deeper conversations with the
8 network, they may say we really think what we
9 primarily need is more dedicated server capacity,
10 right? In which case if that turns out to be the
11 highest priority, you know, again, we might need to
12 shuffle a few things around, but there are also some
13 creative solutions technically, and so, just want to
14 leave some possibilities open there.

15 DR. BRADLEY: Yeah, thanks for that. The
16 second is really just more a reiteration of a point
17 that came up early, and I understand there's about a
18 30-ish percent overlap between PCORnet and
19 participating members in HSII.

20 Just as expansions are considered, just
21 considering the efficiencies that might be captured
22 through reaching out to those partners.

1 DR. HOLVE: Trust us. That was the first
2 thing we did when we got the final list of --

3 DR. BRADLEY: Yeah. Wonderful. Okay, I
4 just, I didn't hear that point specifically from
5 you, so that's excellent.

6 The other one is a controversial issue, but
7 I'm really curious if, or how, PCORnet might guide
8 best practices in the area of gender identity.

9 And we talk a lot about patient reported
10 outcomes and I'm really interested in patient
11 reported exposures, and unfortunately, I don't think
12 we can really look to NIH for best practices in this
13 area. It's a complex epidemiological issue. I have
14 students that go through the exercise of how to
15 determine gender identity as an exposure in the
16 context of cardiovascular risk. And it's very
17 complicated and not really achievable with a single
18 cardiovascular cohort study.

19 Whereas, an instrument like PCORnet could
20 be very powerful if the right exposure questions are
21 gathered.

22 DR. HOLVE: Yeah, it's a terrific point and

1 I have a 16-year-old and a 13-year-old, and gender
2 identity is a huge topic of discussion in our
3 household. So I really appreciate the complexity of
4 the questions.

5 I think, you know, there are a couple of
6 different approaches we could take. I think both in
7 terms of thinking about comparability. Right? And
8 as I mentioned, some of those discussions will
9 occur.

10 As you all know, systems are collecting
11 this information in very different ways across the
12 country. So we are certainly, again, with this sort
13 of mirror to the U.S. healthcare system going to
14 undoubtedly have to wrestle with that issue.

15 I think the other thing that I would just
16 note, is this may be an area where we should look at
17 some of the opportunities with our methods portfolio
18 as well to contemplate some more focused attention
19 for a variety of researchers who may want to dive
20 into this this issue for distributed networks
21 overall.

22 So it's a fantastic point. Thanks, Ryan.

1 I don't know if you want to add anything.

2 DR. COOK: The one thing I was going to add
3 was that their latter point, which I think the
4 question you raise is probably even bigger than
5 PCORnet itself and that there may be other
6 opportunities that PCORI can talk about to really
7 think about this specific issue across research.

8 DR. BRADLEY: Yeah, thanks for that. I
9 think it's an important potential way to lever the
10 power of PCORI, so thanks for that.

11 The last question is, I'm sort of putting
12 on my hat as a complimentary integrative health
13 practitioner and in my own past experience and
14 frustrations, actually, with the fact that a lot of
15 healthcare utilization -- and specifically with
16 complimentary integrative health and related fields,
17 happens in the community out-of-network, given that
18 we know that approximately 67 percent of adults use
19 some sort of complimentary integrative health, it's
20 not captured by the data routinely collected within
21 our health system.

22 It's actually a really important confounder

1 to consider, especially when we get into chronic
2 health conditions where we know utilization is very
3 high.

4 So I guess I'll pose it back to you, are
5 there some creative ways that either have been used
6 or may be used in the future moving forward to
7 capture some more granular information on community
8 delivered adjunctive care, supplemental care?

9 DR. HOLVE: I'm thinking. You've got me
10 thinking about this question, particularly with
11 respect to the sort of creative or new approaches.
12 I think we'll have to continue contemplating some of
13 those new approaches. I do think that the
14 combination of integration of claim sources with
15 EHRs may help.

16 I will also say that I do think that, you
17 know, better integration of complimentary
18 integrative medicine is happening in some places
19 with implementation of other electronic systems. I
20 saw that in my prior work in the District of
21 Columbia. And, you know, I think they're going to
22 be future opportunities in that space.

1 Again, I'll just have to keep thinking on
2 your very thought-provoking question about whether
3 there are new strategies to incorporate that
4 information. It's a good one.

5 CHAIRMAN HOWERTON: Other questions?

6 DR. DeVOE: Oh, Alicia, I didn't see your
7 name --

8 DR. FERNANDEZ: No problem. I just wanted
9 to do two things.

10 One is offer thanks that I'm sure many of
11 the Board Members are feeling for Laura, your and
12 Erin, your leadership and your work and the degree
13 of confidence that you give us in terms of how
14 thoughtful and nuanced, and careful the approach
15 that you're taking is. So thank you very much.

16 The other thing is, just as someone who's
17 been here now, for a while, this is a great
18 discussion because we're like, well, are we
19 capturing SOGI data? Can we think about ways to
20 capture CAM? And I'm like, are we actually
21 capturing the creatinine?

22 And the reason for that is that I'm still

1 like two years behind or are 18 months behind in the
2 quality of PCORnet data.

3 And the fact is, I thank you for showing us
4 ways in which PCORnet is being used, and please do
5 keep us apprised of all of that because it is
6 extraordinarily complex and messy and many of us on
7 the Board felt like, well, you know, maybe we're
8 never going to get the creatinine, much less
9 anything else.

10 So we've come a long way. It has been a
11 very bumpy road and I'm so thrilled at the level of
12 leadership on the staff side and I know that many
13 people on the Board, did work on that. And Kathleen
14 is not right here, but I know she did and others
15 did. And it's a great area where if you, whenever
16 you want to come back, you should come back for
17 more.

18 Anyways, we can support you. Thank you
19 very much.

20 DR. HOLVE: Thanks, Alicia. I just wanted
21 to say thank you to the whole Board as well as a
22 special thank you to all the work group members on

1 the prior two Board activities. You know, the
2 direction and leadership of the Board and support,
3 you know, despite the bumpiness, has really been
4 extraordinary.

5 And I speak for the whole staff in thanking
6 you again for your commitment to this important
7 project.

8 CHAIRMAN HOWERTON: Thank you. Are there
9 other questions or comments that we haven't --

10 DR. DeVOE: I may have missed some. I
11 didn't get any more online.

12 CHAIRMAN HOWERTON: Can I ask one follow up
13 question to Ryan? And I think is there, this may be
14 for you, Ryan, is there any institution focused on
15 complementary and alternative medicine that either
16 has the inclination or capacity to serve as a
17 coordinating center?

18 I don't think we've ever had such a thing.
19 Have we?

20 DR. HOLVE: Super interesting question,
21 Russ. I don't, there has not been a specific focus,
22 but you're correct that there could, if the Board

1 provided this direction, could be some further
2 thinking about that.

3 DR. BRADLEY: So I think OCHIN is a member.

4 DR. HOLVE: Yes.

5 DR. BRADLEY: So three academic medical
6 centers are OCHIN members, actually four.

7 The two Bastyr University campuses, the
8 National University of Natural Medicine, where I
9 work, and the University of Western States. So one
10 of those campuses in Seattle, two in Portland, one
11 in San Diego; do use EPIC as an electronic health
12 record. They're EPIC, OCHIN sublicensees.

13 Ironically, we've approached OCHIN on a
14 couple occasions about querying the complimentary
15 integrative health data and met some funding
16 obstacles because they have their own internal
17 research enterprise now and it's been a tough nut
18 for us to crack, but there is a fairly robust
19 collection of data resting within OCHIN. Now that's
20 not community delivered, complimentary integrative
21 health, it's still academic delivered, but there are
22 data there.

1 CHAIRMAN HOWERTON: Thank you. Mike -- I'm
2 good at this.

3 DR. HERNDON: So my question has to do with
4 kind of growing PCORnet to certain -- you know,
5 Oklahoma's just now implementing a statewide HIE and
6 for states like Oklahoma who wanted to promote
7 PCORnet and get our HIE into PCORnet, how does that
8 work and what's the funding and the strategy to grow
9 appropriate members joining PCORnet?

10 DR. HOLVE: Thanks, Mike. There certainly
11 are strategies for organizations who want to
12 transform their data into the common data model to
13 participate without core support from PCORI and
14 there are some who have chosen to do that.

15 One of the things I will confess that I
16 would love to see, is more of this type of
17 integration with some of the health information
18 exchanges that might have some of that capability to
19 transform data. I think, again, the Board's
20 guidance, direction prioritization will really be
21 what drives our pace there.

22 But I think making sure that we understand

1 how those integrations might happen particularly as
2 fast healthcare interoperability resources, or the
3 FHIR standard, comes on board. Because there, I
4 think, will be more of a convergence and the ability
5 to use data across some of these systems.

6 So it's a great sort of orientation to the
7 future and I hope we'll be part of further
8 conversations with the Board.

9 CHAIRMAN HOWERTON: Thank you.

10 DR. DeVOE: Zo.

11 DR. GHOGAWALA: It's just a quick follow up
12 and to highlight Ryan's point about complementary
13 care because I think that this is something that
14 we've really got to think about carefully because it
15 may also represent an opportunity to understand gaps
16 in care, and I'm just thinking about it from my
17 vantage point as a neurosurgery person.

18 We see a lot of patients with spinal
19 problems, and if you look at patients with back pain
20 in the United States, you're talking about a hundred
21 million office visits per year. So there's a very
22 common reason to see doctors, and I would venture to

1 say that I would bet that the average patient is
2 suffering from back pain is far more likely to also
3 be seeking care from complementary types of health
4 solutions.

5 And if we can't capture that, and that's
6 just one example, we ought to think about ways that
7 we could, because it might really help us understand
8 who's getting the appropriate care or who's not
9 getting care that they might otherwise be able to
10 get.

11 CHAIRMAN HOWERTON: Thank you, Zo.

12 So You won't know, but the previous
13 occupant of this chair, the Chair of our Board,
14 Christine Goertz, you've hit upon one of her key
15 career foci in life. And in many ways, I'm somewhat
16 sad that she and your time, did not overlap on our
17 board.

18 DR. DeVOE: Danny, I saw your tent go up
19 and then down.

20 MR. VAN LEEUWEN: I just well -- because Zo
21 brought it up. I don't know how we can do any pain
22 studies of any sort without complementary data; it

1 would be just empty. So I just want to -- ditto.

2 Thank you

3 CHAIRMAN HOWERTON: All right. Thank you.

4 I think our administrative team has heard
5 that message very clearly, and we look forward --
6 I want to thank you both again for coming.

7 I believe though we have a motion before
8 us, and we may want to move on to that topic.

9 So the specific motion is an approval of
10 \$16 million for funding opportunities to advance the
11 enhancements and innovations in the PCORnet
12 infrastructure in accordance with our Board-approved
13 prioritizing principles.

14 Does any one --

15 DR. VALDEZ: I will move that motion,

16 CHAIRMAN HOWERTON: Bob will make that
17 motion. Do I have a second?

18 DR. FERNANDEZ: Second.

19 CHAIRMAN HOWERTON: Alicia has the second.

20 Is there any further discussion?

21 Maureen, have there been any changes to
22 attendance?

1 MS. THOMPSON: Yes, there has. Kate Berry
2 has left the call; Chris Friese has left the call.
3 Kathleen Troeger as left the call; as has Barbara
4 McNeil. We still have a quorum.

5 CHAIRMAN HOWERTON: All right, thank you.
6 All those in favor, please say aye.

7 [Ayes.]

8 CHAIRMAN HOWERTON: Any in opposition or
9 abstention.

10 [No response.]

11 CHAIRMAN HOWERTON: Excellent. The motion
12 carries.

13 Again by the way, you -- the Board, have
14 hit directly the time allotment for this item. It's
15 remarkable, but we remain one-half an hour in
16 advance.

17 However, the next items, I believe are an
18 integrated whole and I think it might be better to
19 keep them as an integrated whole as opposed to
20 squeeze a part in before lunch and part after.

21 I guess the one question that comes to mind
22 given that there may be the public or others

1 expecting the 1:15 afternoon start time, do we just
2 have a longer lunch break or do we frame shift and
3 resume sooner?

4 MS. THOMPSON: Resume a 1:00.

5 CHAIRMAN HOWERTON: Are we okay to resume
6 at 1:00? We'll take 15 minutes. Everybody's plane
7 connections may get just a little bit better.

8 So the logistics for lunch are, I believe,
9 the same as the rest of our daytime meals.

10 All right. Thank you everyone. And for
11 those online, are they logging off and logging on or
12 are they remaining on as well?

13 DR. COOK: For those online, you can stay
14 on and just turn your cameras and your microphones
15 off.

16 CHAIRMAN HOWERTON: All right. Thank you
17 everyone.

18 [Luncheon recess.]

19 CHAIRMAN HOWERTON: Welcome everyone. It's
20 one o'clock and I would like to call us to order
21 again a little bit at the chosen time of one o'clock
22 early. We have a very important theme for this

1 afternoon and a bit of a complex choreography.
2 We're going to have a discussion on advancing our
3 topic themes and awards portfolio.

4 At our board meeting in February. We
5 discussed an overview of our CER awards portfolio.
6 Today, Harv Feldman, Deputy Executive Director for
7 Patient-Centered Research Programs; Tracy Hwang,
8 Chief of Comparative Clinical Effectiveness
9 Research; and Greg Martin, Acting Chief of
10 Engagement and Dissemination will set up discussions
11 of three topic themes.

12 And I'll turn it over to you, Harv.

13 DR. FELDMAN: Thank you Russ very, very
14 much. And I am very pleased to be joined by Greg
15 and Tracy, and we will be covering some topic
16 themes. Why don't we go ahead and move on to the
17 next slide.

18 So when I last presented to the Board in
19 February, I shared at that time a broad overview of
20 our CER awards portfolio and the Board provided very
21 helpful insights for additional analyses and
22 discussions, and we very much look forward to

1 returning to those in upcoming board meetings. Also
2 at our February meeting we heard a lot of enthusiasm
3 to discuss and consider areas of focus within the
4 portfolio.

5 So today you'll be hearing from two of my
6 colleagues from the Patient-Centered Research
7 Programs department, who will be sharing on
8 components of the portfolio related to selected
9 topics within the 12 endorsed topic themes that
10 you're all aware of, and I'll remind you of in just
11 a minute.

12 Our aim today is to solicit additional
13 strategic input from the Board on opportunities to
14 advance the portfolio broadly. We'll be discussing
15 three topic themes:

16 First, improving cardiovascular health, the
17 second preventing maternal morbidity and mortality;
18 and the third theme, promoting sleep health.

19 And this approach is -- as I have already
20 sort of indicated, to facilitate Board discussions
21 and consideration for future funding strategies at
22 its upcoming meeting in June and beyond, throughout

1 at least this calendar year.

2 We can go to the next slide, please.

3 The broad overview of our portfolio that
4 you heard last month focused on our CER awards. And
5 this slide highlights really the full scope of our
6 awards, and you see them depicted here in this
7 graphic on the right.

8 And while the majority of awards are for
9 CER, we also, as I'm sure you all recognize, fund
10 awards to promote engagement in research, to support
11 dissemination and implementation projects, as well
12 as for the development of research infrastructure.
13 And our portfolio reflects PCORI's holistic approach
14 to all topic themes supporting opportunities for CER
15 as well as engagement, infrastructure building, D&I
16 awards to generate and promote, importantly the use
17 of evidence.

18 So in the topic themes and presentations
19 later today, you'll hear about a variety of types of
20 awards that relate to these topics. So we're not
21 focused exclusively today on CER, but we're really
22 presenting to you in alignment with the topic themes

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 and the three in particular that I mentioned.

2 We can go onto the next slide, please.

3 So today's presentation is going to be
4 organized by topic theme, so I thought it would be
5 good to take a moment just to revisit the process by
6 which the topic themes were identified and developed
7 and they are depicted on this slide.

8 So there has been an important combination
9 of inputs including, of course, strategic guidance
10 from the Board, patient and stakeholder input --
11 such as what we learned from engagement awardees and
12 stakeholder convenings, information on the health
13 and healthcare landscape, an assessment of evidence
14 gaps, and the PCORI award portfolio. And all of
15 these have led to the selection of topic themes,
16 which have been categorized in to three different
17 groups: health behaviors, health conditions, and
18 populations.

19 And many of these same inputs are relevant
20 to identifying funding opportunities also related to
21 these topic themes. And today we'll continue to
22 seek strategic input from the Board for that

1 process.

2 So the categorized themes are summarized on
3 the following slide. If we can advance the slide.

4 You've seen this image before. So you see
5 the 12 topic themes categorized into the three
6 categorical theme bundles: populations, health
7 behaviors, and health conditions.

8 Now practically, we can't sufficiently
9 discuss at any one meeting all 12 of these Board-
10 approved themes. So accordingly, we today, and in
11 subsequent meetings, plan to discuss subsets with
12 the Board in over the next several board meetings.

13 Now, the sequence in which these are
14 discussed is not really intended, it is not at all
15 intended to convey priority rankings. This initial
16 set was selected largely for operational reasons.
17 They represent a mix of perennial issues such as
18 maternal morbidity and mortality, and some newer
19 topic themes such as those related to sleep. They
20 also comprise themes that are a mix in size and
21 scope within our existing portfolio.

22 Also, it's important to note that we're

1 working on all of these themes in parallel and not
2 just those that we've selected to talk about in more
3 detail today.

4 Development of each topic theme is at a
5 different stage and the approach needed for
6 different themes may in fact vary.

7 And then finally, while the upcoming
8 presentations are organized by topic theme, I note
9 that components of the award portfolio and future
10 funding opportunities may often align with more than
11 one theme. And you'll see shortly that there are
12 overlapping areas and there are opportunities to
13 advance multiple topic themes, oftentimes related to
14 a similar health issue.

15 So with that introduction, if it's okay,
16 Russ, I'll hand off to Tracy to continue and make a
17 presentation to you all on the theme of improving
18 cardiovascular health.

19 CHAIRMAN HOWERTON: Thank you.

20 DR. WANG: Thank you very much for that
21 introduction. So first we'll talk about
22 cardiovascular health. The next slide, please.

1 Cardiovascular health is a broad topic
2 theme area with many opportunities for impactful
3 PCORI funding. If we take a look at our portfolio
4 to-date, as you might expect, there's been extensive
5 engagement of patients and other stakeholders in
6 informing our activity to-date, 24 Engagement awards
7 have been funded. On the CER side, 92 awards
8 addressing cardiovascular health have been funded,
9 totaling almost \$500 million in research spending.

10 The majority of these are investigator
11 initiated, meaning they started this in partnership
12 with patient stakeholder communities and were funded
13 under our broad funding announcement mechanisms.

14 But the largest financial commitments have
15 been in larger trials that are funded under targeted
16 or PLACER solicitations. If you move on to the
17 graphics below, you can kind of see the distribution
18 of awards by continuum of care, heaviest in the
19 treatment phase, but also representation in the
20 prevention, screening, and diagnosis phases. And
21 PCORI's CER portfolio, currently on the right-hand
22 side, also shows really good coverage of the

1 numerous cardiovascular diseases and conditions.

2 Cardiovascular health is also the topic
3 theme with one of the most robust utilization of
4 PCORnet. There have been 12 cardiovascular studies
5 that uses PCORnet with seven of these being funded
6 by PCORI. There have also been several Methods
7 projects in this topic area, for example, there's
8 one that's looking at adaptive enrichment designs to
9 inform subpopulation treatment benefits.

10 And we've collaborated with the American
11 Heart Association in supporting research on atrial
12 fibrillation, with the NHLBI in funding studies
13 focused on reducing disparities in hypertension, and
14 collaborations with AHRQ and others have also
15 resulted in several systematic reviews. Next slide,
16 please.

17 Cardiovascular health remains a critical
18 area of focus for PCORI stakeholders, and that
19 includes patients, caregivers, payers, researchers,
20 et cetera.

21 Cardiovascular disease, unfortunately,
22 remains the leading cause of death in the U.S., and

1 despite the many medical advances, age-adjusted
2 cardiovascular mortality really has not
3 substantially declined.

4 As we think about trying to bend that
5 curve, we can't ignore the fact that cardiovascular
6 outcomes are heavily influenced by disparities,
7 social determinants of health, and affordability,
8 and in turn could modify behaviors such as diet,
9 lifestyle, and medication adherence, and may further
10 result in suboptimal health system interactions or
11 care inertia.

12 So based on the landscape scans and
13 conversations with a wide range of stakeholders,
14 there are some common themes that have emerged.

15 The first is, while cardiovascular health
16 is a field with the advantage of having a plethora
17 of evidence-based care options, many of these are
18 actually underutilized.

19 Second, in routine practice, many
20 clinicians still do not routinely elicit patient
21 health goals to help them make patient-centered care
22 decisions.

1 Third, despite calls to increase diversity
2 and representation in cardiovascular trials, there
3 are some populations that are persistently
4 underrepresented in pivotal trials.

5 Fourth, patient-centered strategies to
6 improve medication adherence remains critical.

7 And if we look at the continuum of care,
8 we've heard a strong desire to target as much, if
9 not more efforts, to upstream prevention as to
10 downstream treatment.

11 So these themes really form the pillars of
12 the framework guiding PCORI's future work in
13 cardiovascular health. Next slide, please.

14 Looking forward, we are very excited about
15 the potential contributions PCORI might make in
16 cardiovascular health, and this slide displays some
17 of those opportunities based on the continuum of
18 care. This time, I'm going to start on the right-
19 hand side of the slide.

20 If you think about secondary prevention,
21 peripheral arterial disease and heart failure have
22 been identified as priority targets by many

1 stakeholder groups. Peripheral arterial disease, or
2 PAD, is a disease that has a high burden of
3 symptoms, profound impact on quality of life, and
4 well-documented disparities in access and receipt of
5 quality of care.

6 This is an area where practice guidelines
7 have especially called out for its paucity of
8 rigorous comparative effectiveness trials, and these
9 are needed to determine the best therapies that will
10 help patients avoid amputation, will help patients
11 improve their functional status, as well as many
12 other patient reported outcomes.

13 Heart failure in many ways is on the other
14 end of the spectrum. This is a field that in recent
15 years has actually exploded with new pharmacologic
16 and device-based interventions. Yet, many gold
17 standard therapies, your ACE inhibitors, your
18 spironolactone, these are implemented only in 25
19 percent or fewer patients. Substantial investment
20 is needed to close equity gaps and to enhance
21 adoption of and adherence to these types of
22 evidence-based care.

1 Furthermore, we don't really have a great
2 understanding of whether or not these therapies are
3 beneficial in specific conditions such as peripartum
4 cardiomyopathy. This is understudied.

5 Now, as we shift more upstream to the left-
6 hand side of the slide, stakeholders have reiterated
7 the need for more CER guiding primary or even
8 primordial prevention, and non-pharmacologic
9 approaches to obesity has been a very common theme.

10 Here's where we think our researchers might
11 be able to leverage policy changes. For example,
12 federal coverage of school lunch programs were
13 happening during the pandemic and ended last year,
14 and these may be opportunities for some natural
15 experiments in this area.

16 Going back to the middle of the slide.

17 System level approaches to facilitate
18 earlier detection of risk factors such as diabetes
19 or systematically reduced disparities in
20 cardiovascular disease screening, are some of the
21 very forward-facing opportunities for PCORI-funded
22 CER research. In particular, there's been

1 substantial increase in studying how telehealth and
2 how technology tools, such as wearables, may be
3 leveraged to facilitate diagnosis and disease
4 monitoring.

5 And you'll notice as I've been speaking,
6 how the CV health topic matrixes really well with
7 many of the other topic themes of interests; such as
8 older adult health, children's health, paternal
9 health, and also dovetails nicely with the national
10 priority on achieving health equity here.

11 So with the goal of facilitating CER in
12 cardiovascular health, we hope that PCORnet will
13 continue to provide opportunities, especially to
14 permit that seamless transition from observational
15 studies to ready to launch randomized clinical
16 trials and our PCORI team will continue to develop
17 opportunities that support health systems' ability
18 to accelerate CER evidence, adoption, and
19 implementation, as well as to grow our community
20 capacity to engage in CER.

21 So with that, next slide, please.

22 We'd really like to get your feedback on

1 the proposed direction for CV health. And we'll
2 start by just asking a broad question, what are your
3 thoughts on important directions for this portfolio?

4 And with that, I'll turn it back over to
5 Russ.

6 CHAIRMAN HOWERTON: Thank you Harv and
7 Tracy. Before I open the floor for discussion, I
8 would like to invite Ryan Bradley to share his
9 thoughts on the improving cardiovascular health.

10 DR. BRADLEY: Yeah, thanks for this
11 opportunity. And I have to admit, I slightly
12 misunderstood the activity. So this is going to be
13 a little ad-libbed.

14 I think some of you have probably gotten a
15 sense of my priorities to the discussion so far, and
16 there's no question in my mind that primordial
17 prevention is really the only way to effectively
18 treat or reduce the burden of cardiovascular
19 disease. I think there's pretty well-recognized
20 evidence that by the time we're talking about
21 primary prevention, individuals already have
22 hypertension, dyslipidemia, there's already early-

1 stage disease, and in some cases despite our best
2 intentions, we know that disease will progress.

3 This is also in the context of established
4 treatment guidelines from organizations like the
5 U.S. Preventative Services Task Force that we know
6 are not widely implemented in practice. Less than
7 40 percent of Americans receive advice on health
8 promoting diets, physical activity, stress
9 management, for purposes of primordial prevention.
10 These are adults that are at-risk.

11 The statistics are much better for primary
12 prevention in our country.

13 I also think we have to think carefully
14 about what does it mean to deliver health promotion
15 counseling and care? And simply telling patients to
16 change their diet and exercise is really inadequate
17 and we know that is true. We know that is not an
18 effective approach to changing behavior. It takes
19 careful goal setting, motivational interviewing,
20 reinforcement of goals, revisiting of goals, and
21 really having a trusting relationship with a
22 provider or healthcare team in order to facilitate

1 those changes.

2 So I think this is a critical area of
3 cardiovascular health research that PCORI could
4 support.

5 Some colleagues of mine at Oregon Health
6 Sciences University published a paper proposing
7 preventive cardiology as a cardiology subspecialty,
8 and my mouth hit the floor and it just strikes me
9 that is an approach that will reduce access,
10 increase disparities, et cetera. And so, really
11 looking at preventive cardiology within primary
12 care, maybe within non-physician care teams, perhaps
13 within community delivered health services models,
14 other approaches would really be quite fascinating
15 to compare.

16 And I think that this gets into potential
17 comparative effectiveness research not only on
18 service delivery, but also models of care delivery
19 training in specific areas that we know that could
20 be influential.

21 Another area, and I think it was just
22 referenced, is related to adherence of effective

1 therapies. And obviously, there's a lot of room for
2 improvement in that area as well.

3 My interest is the use of complementary
4 therapies and not necessarily complementary
5 integrative health therapies, or perhaps some
6 complementary integrative health therapies, but just
7 therapies that augment the prescription in terms of
8 helping educate patients about their risk, the
9 importance of adherence, giving them some more
10 highly interpretable understanding of their absolute
11 risk of having adverse response to medication, side
12 effects to medications, things that might help them
13 adhere just through the provision of improved
14 information and informed consent.

15 However, I also think that there's
16 opportunities for complementary medicine services
17 such as mindfulness, other meditative practices, not
18 only to improve adherence, but also to help maintain
19 adequate blood pressure control.

20 Let's see. But you know, I could talk
21 about this for a long time, but I won't.

22 I think two rather controversial areas.

1 One is de implementation, and I think
2 nobody wants to talk about this and because there's
3 obvious implications for payers and providers that
4 do quite well with the status quo.

5 But I think, we also have to acknowledge
6 that there is a lot of negative evidence for certain
7 degrees of cardiovascular interventions. PCI, for
8 example. Where we there is yet to be, to my
9 knowledge, a study that has demonstrated a mortality
10 benefit. And yet we know that there are hundreds,
11 if not thousands, of stents placed every day.

12 So looking at these questions of de-
13 implementation towards optimal medical management is
14 an area that's going to be quite controversial, but
15 I think is very important for patient health and
16 patient values in terms of -- I've encountered many,
17 many patients that they fully understand what
18 they're signing up for when they go to get
19 catheterized, they get stents planted, and they
20 don't realize that they're going to be on
21 anticoagulant therapy and platelet therapy for the
22 rest of their lives in some cases.

1 So these are some important areas.

2 The final one that I'll touch is the
3 overlap between environmental health and
4 cardiovascular health, and I think this further
5 underscores the importance of very detailed training
6 in this area.

7 Not appreciating the consequences of
8 environmental health contaminants to cardiovascular
9 disease is routinely overlooked. Very few people
10 know that endotoxemia is actually an important
11 stimulus for the activation of PCSK9. Of course,
12 anti-PCSK9 antibodies is an emerging -- you know,
13 new, relatively new treatment for lipid management
14 and very, very expensive. We know adherence is
15 poor.

16 How many have traced that back to its
17 origins? You know, dysbiotic guts, endotoxemia, and
18 systemic inflammation is one of the key triggers for
19 PCSK9, and yet we would rather sign people up for
20 expensive therapies rather than talking to them
21 about environmental contributions such as those that
22 are encountered in their diet, in their air, in

1 their water quality. Issues that Danny brought up
2 earlier today.

3 So I'll stop with those. I think that we
4 have a lot of work that could be done in this area
5 and thanks for the opportunity to contribute.

6 CHAIRMAN HOWERTON: Thank you Ryan, for a
7 very provocative set of leading thoughts and I'll
8 now open the floor for discussion. Please remember
9 to turn your cards up, or if you're online to text
10 Maureen.

11 Oh, Mike. We have another -- Mike, I
12 didn't realize we had another commentary.

13 DR. HERNDON: So, first of all, I cannot
14 help in my comments that be influenced by my 16
15 years in the Medicaid world and six years as Chief
16 Medical Officer trying to address quality, trying to
17 address disease burden to the State and one of the
18 opportunities I had was to build a disease
19 management program in the early-2000s for the State
20 of Oklahoma, which we termed a health management
21 program.

22 We did not call it disease management

1 because managing diseases gets you nowhere, managing
2 and assisting patients in healthcare is what drives
3 outcomes.

4 We worked with providers trying to teach
5 them how to do evidence-based care in their
6 practice, practice redesign, et cetera, and what we
7 learned is that retraining providers with
8 motivational interviewing and system delivery
9 redesign will only get you so far. And the truth of
10 the matter is, and I'm not the only person to think
11 this, there are authors -- published literature that
12 put payment system as the number one driver for poor
13 health outcomes.

14 We pay providers for quantity. We do not
15 pay them for quality.

16 And in the Medicaid program, two percent of
17 the Medicaid population are responsible for 30
18 percent of the cost, 5 percent of the Medicaid
19 population is responsible for 50 percent of the
20 cost. And the number one condition in Medicaid, is
21 the same for the number one condition in the United
22 States, and that is cardiovascular health, including

1 MIs, heart failure, stroke, et cetera.

2 And not only is it the number one cost
3 expenditure, it's also the number one cause of
4 death. So this cardiovascular health has to be at
5 the top of the list for PCORI.

6 So to the question, I think it is an
7 important opportunity. The fact that we have 14
8 preventive studies compared to 73 treatment studies,
9 I think is disparate enough. It's saying that we
10 need to be focusing on prevention.

11 And so, if there's any way to come up with
12 the comparative effectiveness research question that
13 could address how payment impacts outcomes, that's
14 what I would like to see. That's, I think, the
15 number one thing -- and thank you for the
16 opportunity to get on my soapbox again. That's
17 helpful for me.

18 And as far as additional populations, the
19 vulnerable populations, you know, I talked about
20 Medicaid, but Medicare. The uninsured, the working
21 poor. I come from a rural state. We're rural, we
22 have a lot of rural people.

1 The nutrition is horrible. You know,
2 people eat to survive. They eat what they can
3 afford, and unfortunately, they cannot afford to eat
4 healthy. That's just the way it is in my state.

5 The working poor eat poorly because they
6 can't afford to eat healthy.

7 I don't know where a research question lies
8 there, but there is a population that needs to be
9 considered in the research and getting them to the
10 table to participate, I realize is a problem, but I
11 think we need to develop some sort capacity and
12 opportunity for them to participate.

13 And then lastly, I really think we've got
14 to get more primary care providers at the table to
15 help us frame questions and the research question.
16 And it needs to be not just -- I was an integrated
17 hospital employed physician and so yeah, that's
18 great. I think there's a lot of us that could have
19 something to offer, but what about the providers at
20 the federally qualified health centers?

21 What about the safety net providers? Where
22 a lot of these people enter the system because they

1 cannot afford to enter the system at an academic
2 institution. They don't know how to navigate an
3 academic institution, so they go to the provider
4 that they're best known to, and that many times is a
5 federally qualified health center or primary care
6 doc in a rural community or in their neighborhood.

7 So to integrate, common day providers to
8 develop the research question, I think is important.
9 Maybe they don't know how to do it, but I think what
10 they could contribute if they were taught would be
11 helpful. So those are my thoughts.

12 CHAIRMAN HOWERTON: Mike. Thank you for
13 those similarly thought-provoking observations. I
14 think now we will open the floor to Board input.

15 DR. DeVOE: I had Bob and then James, and
16 it looks like Alicia

17 DR. VALDEZ: Well, I want to thank both
18 Ryan and Mike for setting me up for saying what I
19 was thinking. So I'm going to join your soapbox in
20 the sense that my soapbox has always been the fact
21 that as I've been training medical school students
22 and residents and others in New Mexico, most

1 recently, my experience is that the patients who
2 come in the door, don't come in to come see us for a
3 condition.

4 They have some complaints, some issue, but
5 they usually walk in that door with more than one
6 chronic condition or one condition affecting them.
7 And yet, we treat and we do our science around
8 isolating a condition as if their care doesn't
9 include the care for these other things that may be
10 affecting them as well.

11 And so, I'd encourage us to think about, as
12 we think about funding research, that we look at the
13 constellation of conditions. So, for example, in
14 cardiovascular disease, the risk of a cardiovascular
15 incident rises dramatically once a patient has a
16 cancer diagnosis, and yet we either think of it as a
17 cancer issue or we think of it as a cardiovascular
18 issue. We don't think of it as a constellation of
19 these two, what in essence are chronic conditions,
20 that need to be investigated as a set.

21 And so, my hobby horse has always been, how
22 do we think about care for people with the

1 constellation of conditions that they bring to us?

2 And this is particularly true, Harv, as we
3 cut across those dimensions or populations who are
4 already seniors.

5 But for those of us who are going to become
6 older, we're in an aging society. And increasingly
7 patients are coming to us who have multiple chronic
8 conditions.

9 And so, as we begin to think about how we
10 transform care and make it better for people,
11 recognizing that even for a research study, we can't
12 just take our old approach of thinking of it as a
13 risk factor, as was described earlier. If someone
14 has diabetes, it's a risk factor for cardiovascular.

15 It's actually those two chronic issues that
16 need to be thought of as a constellation. And so, I
17 don't have a good way of figuring out how that
18 affects, how we decide, how we seek proposals.

19 But it certainly needs to be in the mix of
20 a new way of thinking about health services research
21 that addresses the reality of patients coming to
22 clinics seeking services.

1 CHAIRMAN HOWERTON: Thank you.

2 DR. DeVOE: James, and then Alicia.

3 DR. SCHUSTER: Thank you. Tracy, nice
4 presentation and all good comments, too.

5 So I had a question and then a comment.

6 So Tracy, since you're really kind of a
7 subject matter expert in this arena, I was curious
8 if you had a sense of if there's some specific areas
9 of focus of other funders. So if we were looking
10 across the prevention and treatment landscape, are
11 there some areas that in your senses have been less
12 attended to or supported in terms, and might that be
13 one factor we want to think about?

14 DR. WANG: Yes, I think this is an area
15 that is very rich in potential research
16 opportunities. Where I think PCORI has a unique
17 niche in it, are in several areas.

18 One is from a secondary prevention
19 standpoint, there are a variety of conditions, and
20 we highlighted a few of them that I think are areas
21 where we were informed loud and clear from our
22 stakeholders that there are outcomes that are

1 perhaps not the traditional MACE type of outcomes,
2 major adverse cardiovascular event type of outcomes,
3 that many other study sponsors tend to focus on.
4 For example, in PAD with regards to symptom
5 reduction and avoidance of amputation and functional
6 status quality of life, these really play very
7 strongly to PCORI's strengths.

8 In response to many of the other comments
9 that have been raised already. Again, we are often
10 looking at real world practice here, where things
11 like payment, things like multiple chronic
12 conditions, are completely disentangled from the
13 disease condition that are often studied in RCTs
14 funded by other funders, in that they usually have
15 to have very specific inclusion and exclusion
16 criteria that rules out the majority of those
17 populations from those trials.

18 Whereas, here, I think we really have the
19 opportunity to incorporate all of those conditions
20 and considerations and movers and changing factors
21 within these areas to really answer the question of
22 how do we make healthcare choices or help patients

1 and stakeholders answer those questions about how to
2 make healthcare choices.

3 So again, that's another niche area that
4 PPCORI is particularly. poised -- [off microphone.]

5 My apologies.

6 To finalize the comment about prevention.
7 I think you are absolutely -- you know, I think the
8 comment's been raised. We've done some great work
9 in prevention. We can do a lot. And perhaps this
10 is, and I think this again, is another area where we
11 may have a stronger mandate than most in focusing
12 more upstream on this.

13 We are hearing from stakeholders who are
14 coming from a more socioeconomically disadvantaged
15 backgrounds. We're hearing from folks who are
16 really passionate about how social determinants of
17 health affects not only the selection, but the
18 application of various diagnostic and treatment
19 strategies in a complicated U.S. population.

20 So I think, again, we've got a mandate to
21 work in this area as well.

22 DR. SCHUSTER: Thanks. I think that's all

1 really helpful.

2 And I guess the one other area that I just
3 wanted to raise for consideration is, you know, we
4 talked about the new recommendations for treatment
5 of congestive heart failure and how poor the uptake
6 has been, and I wonder if that, itself, is a theme
7 that -- I don't know exactly how to design this
8 study, but is a theme that might be worth asking
9 people to help us explore, which is how can we use
10 that as an example of a new practice that has
11 incredibly positive benefits for members?

12 But I think you said 23 percent or
13 something of the time -- it's only used, you know,
14 23 percent of the time.

15 So how do we speed up that 10-year, 15-year
16 adoption cycle with this particular practice, which
17 is you know, probably one of the most impactful new
18 guidelines that's come out in a long time.

19 DR. WANG: Yeah, I think, again, you're
20 speaking to an area that is near and dear to my
21 heart. Alicia spoke to this as well, in terms of
22 thinking about sequencing and selection of these

1 therapies.

2 On the other hand, we also know that in
3 heart failure, we've now got an explosion of device-
4 based therapies that are being applied. And I would
5 be pretty frank in saying that many of these are not
6 necessarily being applied in the most judicious
7 manner.

8 And so, to Ryan's point, You know, studying
9 this to de-implement in some ways is in a way that's
10 concordant with patient-centered values and
11 healthcare goals, is again, a strong mandate for us
12 at PCORI to be able to fund research in that area.

13 DR. FERNANDEZ: Thank you for that really
14 excellent presentation. I really liked it and was
15 particularly struck by the 12 studies using PCORnet
16 and that only about half of them are paid for by
17 PCORI or are PCORI-funded studies. And that's just
18 great.

19 So I only had two brief comments.

20 One is, I want to pile on the theme of
21 pharmaco-equity. And in particular, think about --
22 I wonder whether they, the CER program, would like

1 to think about doing something looking at pharmaco-
2 equity in the treatment of atrial fibrillation.

3 It is an area that one of, I know one of my
4 mentees, Utibe Essien, has done a lot of work on it,
5 but these are not new drugs. They are not more
6 expensive, and they're more patient friendly. So we
7 should be really looking at that.

8 And what I like about the idea of asking
9 you to think about whether that's something you want
10 to do, is that we could ask about that with respect
11 to two of our infrastructures, three of our
12 infrastructures. We need intervention studies that
13 are implementation science. We may need learning
14 collaboratives, which are new, I don't know what
15 we're calling it -- IHS --

16 CHAIRMAN HOWERTON: HSII.

17 [Laughter.]

18 DR. FERNANDEZ: That one. We might be
19 interested in.

20 And then, it is a great area obviously for
21 observational studies, for all I know, we already
22 have observational studies underway using PCORnet

1 and really raising this loud and clear.

2 And the issue here, obviously, is that
3 atrial fibrillation is one of the most modifiable --
4 anticoagulation of atrial fibrillation is probably
5 the most modifiable risk factor for large strokes.
6 And it's appalling that, you know, this would be a
7 great contribution. So think about that and I'm
8 sure you already are.

9 And the second one, is along the same vein.

10 I think that we should think about whether
11 or not we wanted to put out for some observational
12 studies using PCORnet, if in fact PCORnet is ripe
13 for that, around more of the variations and things
14 like PCIUs or others, with a standard, let's appeal
15 to the PhD, Academy Health, Health Services Research
16 saying, and what are you guys doing? So that we can
17 actually get a little bit more -- so that's it, more
18 observation studies here.

19 DR. WANG: Alicia, thank you very much for
20 your comments, and I really like this framework that
21 you're sort of the cycle here of leveraging the full
22 range of PCORI resources and infrastructure, to go

1 from surveillance to treatments and back to
2 implementation and surveillance again. So thank you
3 very much for that comment.

4 DR. DeVOE: Mike, did you have another one?

5 DR. HERNDON: Yeah. To kind of build on
6 something that Bob said earlier, that in our data,
7 in the predictive modeling analytic tool we had in
8 Medicaid. We used the predictive modeling to find
9 our highest risk patients and to find that top one
10 percent to deploy nurse case managers to intercept.

11 And so, the data was so revealing and what
12 we found is that, of the population that had one
13 chronic health condition, which a lot of people,
14 most people have one chronic condition, probably
15 have two, but if you had one chronic condition, the
16 prevalence of depression was 40 percent.

17 So, which Bob, you made me think there's
18 got to be a good research question in there.

19 You know, if you treat the chronic
20 condition and ignore the depression, the outcomes
21 are going to be so much less.

22 And so, guess what slows a provider down

1 more than anything in a practice? Do a PHQ-9 and
2 see what their score is and try and deal with it.

3 Depression, behavioral health impacts so
4 many chronic health conditions, yet it is probably
5 what providers are trained the least to address.

6 So somewhere perhaps that's a question that
7 we should be looking at. Is what does the evidence
8 show, you know, for comorbidities, particularly
9 behavioral health comorbidities? And what's the
10 outcomes when they're treated versus when they're
11 not treated?

12 And then the last thing I want to say is
13 just, I used to be anti-community health worker.

14 Full disclosure. I used to think it was
15 potentially an abusive, I'm sorry -- well, and you
16 know, it comes from an honest place of abuse of
17 people coming to Medicaid wanting to be paid for
18 things and doing nothing.

19 But now after getting into the weeds and
20 seeing what community health workers do and the
21 value that they've brought, I have done a 180. I
22 believe, truly believe that probably some of the

1 most potentially impactful people to improve health
2 outcomes are people who live and work in the
3 community, who are trusted by the people in those
4 communities to help them navigate and access
5 healthcare.

6 You know, and unfortunately, many of the
7 patients, by the time they hit an academic health
8 center is when they're in crisis and they've had the
9 MI, they've had the stroke.

10 So I think we've done research on community
11 health workers and that's great, but how do we -- is
12 there potential for more powerful research, and I
13 know that power is a research term, but not to be
14 applied here, but powerful to the provider community
15 so that we get the naysayers and the people who
16 would embrace, including our federal government, who
17 does not recognize community health workers as a
18 payer type. And so, we end up getting these
19 community health workers getting end up paid through
20 grants. They end up getting paid, you know, some
21 alternative method.

22 And so, perhaps some research that was

1 meaningful enough to change the payer minds because
2 I was one of them. You know, let's impact the
3 private payers. Let's impact the public payers that
4 community health worker interventions work.

5 So those were two things I had on my list
6 and I cut them short, but I just, after Bob made his
7 comment, I just said, man, I've got to bring up the
8 comorbidity issue because if you just treat one --
9 if you're just looking at cardiovascular disease
10 alone as research, it's probably missing the boat.
11 We have to look at comorbidity.

12 CHAIRMAN HOWERTON: Thank you, Mike.

13 And perhaps we'll close this session with
14 Zo.

15 DR. GHOGAWALA: My question is very simple-
16 minded, but I was just curious to know what we've
17 learned from patient stakeholders about the barriers
18 in primary prevention. What do they say are the
19 problems of primary prevention in cardiovascular
20 disease?

21 DR. WANG: I think many of the themes that
22 we've talked about before have been encapsulated on

1 one of those slides.

2 One of those is access. One of those is
3 what are impactful interventions we can really
4 introduce here? As mentioned before, just telling
5 them, Oh, you need to lose weight, is not helpful.
6 Blood pressure management, for example, is an
7 iterative process. It's not a prescribe one drug
8 and everything's fixed type of thing.

9 So we're hearing about many of these and
10 incorporating many of these into our solicitations
11 to try to be able to create, hopefully, impactful
12 intervention studies that can evaluate what should
13 be brought to the forefront in terms of trying to
14 improve U.S. health that way.

15 CHAIRMAN HOWERTON: Well, thank you
16 everyone. Tracy, I hope you feel as though you've
17 gotten a lot of input from the Board on this topic
18 to take away, and perhaps now we'll turn to Greg and
19 our next topic theme.

20 MR. MARTIN: All right, good afternoon,
21 everyone. It's good to be with you again. Before
22 we get too far into this presentation, I think it's

1 worth taking a moment to just remind of what we
2 already know.

3 Frankly, maternal mortality in the United
4 States is a crisis. There's no other way to put it.
5 Among industrialized nations, the U.S. maternal
6 mortality rate of nearly 24 deaths per 100,000 live
7 births nearly trebles that of the next highest
8 nation, which is France.

9 The rate for Black birthing people is more
10 than double the national rate, and it's still yet
11 higher for our American Indian and Alaskan Native
12 communities.

13 We also know that 80 percent, four out of
14 five of all deaths are considered preventable. Now
15 adding to the sorrow of maternal mortality each
16 year, more than 50,000 women in the U.S. experience
17 severe maternal morbidity or unexpected outcomes of
18 labor and delivery.

19 So we recognize the interest and the need
20 for evidence at federal and state and local levels
21 and across the health sector. And so, PCORI has
22 been and will continue to pursue evidence to address

1 this crisis and we're pursuing our funding approach
2 with energy and with enthusiasm, which I hope you'll
3 hear in this presentation. Next slide.

4 So to help us better approach our work, in
5 both examining the literature and engaging with
6 patients, with families, with the broader health and
7 healthcare community, we developed a framework to
8 help guide our work around maternal morbidity and
9 mortality. Now, this framework includes specific
10 conditions associated with mortality, examples of
11 patient level risk factors, and social determinants
12 of health.

13 And I'd be remiss, of course, not to note,
14 as has been indicated earlier in this meeting, you
15 know, the cross-pollination amongst some of these
16 topic themes. We see cardiovascular prominently
17 represented here in maternal morbidity and
18 mortality.

19 Now we'll provide further detail on some of
20 our work to-date as we go through this presentation,
21 but I wanted to highlight that our activities aim to
22 address the various parts of this framework. And

1 these activities are informed and driven by the many
2 conversations that we've had and continue to have
3 with the wide range of stakeholders and patients,
4 families, patient advocates, environmental scans,
5 and literature reviews. Next slide, please.

6 So it's important to reiterate this
7 extensive engagement and how we try to bring
8 patients, families, communities into this PCORI
9 ecosystem. As noted on this slide, we've had
10 significant interest from communities in building
11 capacity to engage in CER to address maternal
12 morbidity and mortality.

13 We've included a special area of interest
14 in our Engagement Awards PFA since 2021. And we've
15 seen project foci that include breast/chest feeding,
16 chronic conditions, oral health, the maternal health
17 workforce, substance use disorders, and so on. And
18 the populations that have been engaged include
19 Black, Indigenous, and persons of color, immigrant
20 and refugee communities, LGBTQ+ communities, low-
21 income, rural, and so on. So we're seeing the
22 Engagement Awards continue to be a robust

1 opportunity for building capacity to participate in
2 the type of work that PCORI funds. Next slide.

3 With research, we've taken a blended
4 approach to our work that uses the myriad of tools
5 available to PCORI. We've seen studies come in that
6 address access to care, quality of care, respectful
7 care, timely detection and treatment of postpartum
8 conditions, the maternal health workforce, delivery
9 of treatment for opioid use disorder, and
10 populations experiencing disparities.

11 And we've recently encouraged applicants to
12 focus on things like addressing challenges regarding
13 access to care, but specifically access to quality
14 care and respectful care.

15 We've also encouraged applications around
16 the postpartum period. Specifically, the first six
17 weeks postpartum, during which we know about 35
18 percent of all mortality occurs.

19 We also launched partner-soliciting
20 applications that they'll have dual PIs, one
21 researcher and someone representing the community,
22 and the studies will address outcomes prioritized by

1 the community by employing at least one strategy to
2 address those social determinants of health that
3 we've identified and one health system strategy to
4 address disparities from maternal.

5 We've also continued to be grateful for our
6 strong partnership with AHRQ. We've commissioned
7 systematic reviews that address topics like, as you
8 see here, outpatient cervical ripening and
9 postpartum care for up to one year. And again, the
10 cross-pollination of cardiovascular postpartum
11 hypertensive disorders.

12 So given all the data and all we've heard
13 from communities about health inequity and the role
14 of social determinants and their work in maternal
15 morbidity and mortality, we continue to feel that we
16 cannot fulsomely address this crisis without also
17 incorporating considerations around social
18 determinants. Next slide, please.

19 So, looking ahead. We continue to pursue
20 this blended approach to addressing maternal
21 morbidity and mortality. So based on stakeholder
22 input, landscape assessments, national data. Again,

1 literature reviews. We're very interested in the
2 potential contributions that PCORI might make around
3 things like natural experiments to examine the
4 effects of state and regional policies.

5 There have been many efforts across this
6 country to address maternal morbidity/mortality at
7 local, state, and regional levels. What can we
8 learn from this innovation?

9 There's also a strong interest in achieving
10 equity through focusing on those populations that
11 are suffering disproportionately in this crisis,
12 including American Indian and Alaska Native
13 communities.

14 We're also looking at postpartum morbidity
15 and mortality. Over 50 percent of mortality occurs
16 in that first year postpartum after that first week.

17 And what are the factors that contribute to
18 that? Including postpartum depression and suicide,
19 overdose, car accident, and intimate partner
20 violence, which is an under researched contributor
21 to maternal morbidity and mortality.

22 So there's great intersectionality here.

1 Again, I've mentioned cardiovascular a
2 couple of times. That's certainly there. But also
3 if you think about the other topic themes that
4 you've approved us to work on: mental health,
5 substance use, and so on. There's tremendous
6 intersectionality between maternal morbidity and
7 mortality in those areas in which we'll continue to
8 pursue.

9 As you heard earlier in Dr. Holve's remarks
10 there's interest in using PCORnet. She mentioned
11 that goal of strengthening the capacity of the
12 PCORnet infrastructure to facilitate CER in maternal
13 morbidity and mortality.

14 And we're also exploring potential Methods
15 work, including with the Methodology Committee on
16 composite outcomes.

17 So there's quite a number of different
18 areas that we can continue to pursue, and of course,
19 we're going to continue to support communities to
20 build and enhance their capacity to engage in the
21 work that PCORI funds, the work that PCORI does as a
22 funder, and to use the evidence that we funded.

1 And so with that, we certainly look forward
2 to your feedback on the framework and future
3 opportunities for PCORI funding. Next slide,
4 please.

5 And so with that, Dr. Howerton, I'll cede
6 the floor back to the Chair.

7 CHAIRMAN HOWERTON: Thank you, Greg. And
8 before I open the floor for discussion, I believe we
9 have comments from Danny and Alicia. Danny, perhaps
10 will you go first?

11 MR. VAN LEEUWEN: Thank you. And full
12 disclosure that when MMM was designated as a
13 legislative priority, I reached out to some experts
14 to inform my understanding. So let me just tell you
15 who they are so I'm cribbing their advice to me.

16 So one of them was Michele Whitt, who's an
17 OB/GYN physician specializing in healthcare
18 information systems of technology with OCHIN, the
19 Oregon Community Health Information Network, and Dr.
20 Lisa Masinter, who's an OB/GYN physician working
21 with Alliance Chicago.

22 So let me pull together some of the

1 recommendations and suggestions they made to me.

2 One of them was that there are 1,000 out of
3 3,200 counties in this country that are OB deserts
4 where there is no services, no OB services. So 54
5 percent of pregnant women are without proximate
6 maternal care. They said that the fragmentation of
7 services of primary care, family planning, prenatal
8 delivery, postpartum, pediatric, and then back to
9 primary care, that the transitions of care across
10 that continuum are fraught because there's so many
11 different nodes and the information does not cross
12 all those different systems.

13 They really emphasized that the solutions
14 are hyper-local, and that it's really important --
15 and then they drew in the public health data, and
16 so, understanding what are the hyper-local
17 conditions and situations that impact solutions.

18 I think they also -- well, the last thing
19 that I learned from them is the issues of teen
20 privacy, is a challenge in information about
21 maternal health, that has already been said
22 addiction in pregnancy, and pre-preeclampsia.

1 So I noticed in our what we've funded there
2 was nothing before prenatal and that to me is, you
3 know, something we really need to think about.
4 Thank you.

5 CHAIRMAN HOWERTON: Thank you very much,
6 Danny. Alicia.

7 DR. FERNANDEZ: Yeah. Thank you. I wanted
8 to share three observations and a small plea. And I
9 should say that I'm an internist. As soon as a
10 woman is pregnant and wants to keep the pregnancy,
11 I'm like, "Ahh," and send her off to OB/GYN. So I'm
12 going to take a very -- my point of view is from
13 someone who does equity research, who really
14 strongly emphasizes the patient-doctor relationship
15 and who is very hard-headed on this.

16 So if you could put up your second slide,
17 Craig, preventing maternal morbidity and mortality.
18 I thought I would share three observations. The
19 framework slide.

20 Observation number one. There are a little
21 bit under 800 deaths of -- in -- per year out of the
22 millions of women who give birth. And to -- I said

1 this before, to put that number into some sort of
2 context. That's a number of people that we have die
3 in San Francisco pretty much each year from opioid
4 overdose. It's a little lower now.

5 So what does that mean to me? What does
6 that observation mean?

7 It means that our studies need to be large.

8 I really believe that we can impact
9 maternal -- I should have started there. I see our
10 goal as decreasing maternal mortality and severe
11 maternal morbidity as mandated by the legislature
12 and I really believe we can do that. But it
13 requires units of analysis and units of intervention
14 that are at least on the state level in some places
15 will be on the regional level that take place -- and
16 that take place over time. And the implication for
17 that, for me, was about partnerships. That we
18 needed partnerships in order to fund the large
19 enough work that needs to be done.

20 And fortunately, there are now a lot of
21 partnerships because almost all states now have a
22 quality of maternal care collaborative. And so,

1 those have been stood up, partly by Congress and
2 there's a lot of funding going into that, so that's
3 one.

4 So observation number one, 800 deaths per
5 year.

6 Observation number two.

7 I think goes to things that we've heard
8 from both Greg and Danny, which is that preventing
9 maternal morbidity/mortality necessarily spans two
10 types of -- we need to have, to necessarily think
11 about it as spanning two types of systems. One is
12 within the clinical system because so many of the
13 deaths occur postpartum, necessarily spans primary
14 care and the other fields with -- and behavioral
15 health, with a drop off in both access and of
16 information flow. And so, we have to help people
17 construct studies that are very intentional around
18 that and so on.

19 And the second is that the other system
20 that, the other area that we have to span, is the
21 clinical area with the workforce area because
22 particularly things like maternal hemorrhage. Like

1 in what country where women give birth in the
2 hospital is maternal hemorrhage to death an
3 acceptable outcome? And this is not because people
4 were rude to the woman or racist or biased.

5 And so, how could that be? So that is a
6 workforce question.

7 Many states have reduced maternal mortality
8 a lot by putting into place protocols and workforces
9 that addresses, so to a certain extent, these down
10 steps of information sharing and down steps to a
11 certain extent, they span political issues like
12 Medicaid non-expansion states or regulatory issues
13 that are beyond our pale as researchers to directly
14 influence, but not beyond our pale as researchers to
15 call out -- to understand better how these work,
16 when they work, when they don't work, and so on.

17 So observation number two, it's about
18 spanning systems.

19 Observation number three flows from that.

20 And here if you go to the California
21 collaborative, the California Maternal Quality Care
22 Collaborative. One of the articles featured there

1 by a group of California researchers was about the
2 difficulties inherent in data definitions, that is
3 about the lack of data definitions, that have made
4 doing interstate or inter-regional collaboratives
5 very difficult.

6 And this is where I think we do have a very
7 important role to play through PCORnet by providing
8 certain types of data and if PCORnet can be used in
9 this way, then I'm so happy to see those comments
10 that we are already thinking about using PCORnet in
11 exactly this way. So maybe I'm way behind the boat.
12 I think that those will be really important studies
13 out of which we can do observational studies that
14 could in turn inform medical societies, advocates,
15 and policymakers who are looking at reducing
16 maternal mortality and severe maternal morbidity.

17 So that third one was about definitions of
18 data and use and making and investing in PCORI, as
19 we are doing, to really be able to create good
20 observational studies.

21 And my last is a plea.

22 I really hope we can focus on preventing

1 maternal mortality and severe maternal morbidity and
2 not in the -- also incredibly worthwhile goal of
3 improving the birth experience, and improving
4 clinical care and reproductive health, or women's
5 health, or however we want to call it. Maternal
6 health all the way through.

7 So even though, and the reason I said I'm a
8 disparities person and I focus on the clinician
9 communication and I've written articles on bias and
10 blah, blah, blah; but I don't want to hear it.

11 I don't want a study. I don't want PCORI
12 to fund a study on OB/GYN bias, which we've done,
13 and say that is our way that we're going to combat
14 maternal morbidity and mortality. Because it isn't.

15 Because bias is too distal an outcome from
16 hemorrhage. It's not too distal an outcome, it's
17 too distal in exposure. Not true for everything.

18 If you have horrible systems, you don't go
19 in for your hypertension. You will come in seizing
20 and with eclampsia and you die, will clearly the
21 bias system but that's actually an even small group
22 of the 800.

1 So I think that if we want to reduce those
2 800 deaths and the 50,000 SMM, we have to keep our
3 eyes on the prize. We have to be very, very hard-
4 nosed and we have to make sure that the conceptual
5 models, that the study is powered to look at the
6 outcomes that we want to over regions and space and
7 time, and that the conceptual models are sound and
8 sufficiently proximate. Because at the end of the
9 day, we won't do our patients any service by not
10 focusing on that.

11 So I like some of where we're going and for
12 some of it, I want to really encourage us to do the
13 math and we may only need to fund large, large,
14 large studies. Maybe one large study to get to
15 Russ's point. But I do think we can reduce maternal
16 morbid mortality and SMM, at least from the ignorant
17 internist point of view. I don't see why we can't
18 do that, so thank you.

19 CHAIRMAN HOWERTON: Well, thank you both,
20 Alicia and Danny, for those thought-provoking
21 comments for Greg and the team. I will now open the
22 floor to the Board as a whole and I see various tent

1 cards up. I'll turn to my partner and colleague.

2 DR. DeVOE: I saw Bob and then Mike, and it
3 looks like Ryan and James.

4 DR. VALDEZ: Thanks very much, and thanks
5 for the comments and the presentation, and thank you
6 for putting that framework up because I want to
7 address the framework and get to these issues of how
8 we think about both, mortality and morbidity.

9 The tendency has been to focus on the
10 mortality and sometimes the severe morbidity, but
11 childbirth is a natural event and we should be
12 aiming towards minimizing any morbidity that occurs
13 and it's part of the experience, but it's also part
14 of the clinical aspects. So I think we really need
15 to think about separating this notion of maternal
16 mortality and maternal morbidity.

17 It's easy for a researcher to focus on
18 mortality. There's a finite outcome measure, but
19 it's not necessarily the one that informs us about
20 how to improve or minimize morbidity.

21 The other thing that this particular
22 framework points out to me, and the experience that

1 I've had over the last couple of decades working in
2 Native American communities and in urban cities, is
3 that the two populations that were raised as
4 greatest risk, that is African American communities
5 and Native American communities, have experienced
6 some similar epidemiological situations.

7 And that is, preconception young women have
8 increasingly become more obese, show up with
9 hypertension, show up with developing diabetes, and
10 have behavioral/mental health-related interactions.
11 Sometimes addiction, sometimes not addiction, but
12 that's all pre-conceptual.

13 And so our framework focuses our research
14 on postpartum, and I think we have to get upstream a
15 little bit if we're, in fact, going to deal with the
16 morbidity aspects and even the mortality aspects
17 that are raised here.

18 So let me just see if I can summarize.

19 I think we should separate mortality and
20 morbidity so we have a clear framework for the
21 endpoints that we want to achieve. We need to be
22 clear about the fact that we want to minimize

1 maternal morbidity and not just severe morbidity.
2 And that we not just focus on the postpartum aspects
3 of this framework, but also look at the pre-
4 conceptional piece of it that by and large is left
5 empty here, even in the framework.

6 CHAIRMAN HOWERTON: Thank you very much.

7 DR. DeVOE: We have Kara and then Mike,
8 Ryan, and James.

9 DR. AYERS: Thank you. As I think was
10 briefly mentioned in the beginning, I'm really
11 fascinated by the overlap of some of these focus
12 areas like the cardiovascular disease on this slide
13 here with MMM, and so, I'm wondering if there's
14 anyone tasked -- I think while this is great,
15 sometimes the risk of having overlap is that if kind
16 of both sides are trying to consider that overlap,
17 it can fall through the crack.

18 So I just wonder if there's any one role or
19 person that is assigned to look at the ways these
20 different focus areas overlap and almost liaise in a
21 way -- you know, back and forth between them. I'm
22 just concerned, I guess, about the limits of

1 siloization and missing that really valuable
2 opportunity to see how they cross.

3 CHAIRMAN HOWERTON: Do we want a response
4 from the team for that?

5 DR. AYERS: Yeah, that would be great.

6 DR. FELDMAN: Sure. Thank you for that
7 really important point.

8 You know, I think it would be fair to say
9 that we really are focusing on the ensemble of these
10 themes as we consider health conditions, and
11 regularly, and I think, continuously look at the
12 intersectionality as Greg pointed to. The
13 presentations, of course, are being presented in a
14 more focused way just as an approach to sort of
15 sharing with you insights and information about
16 portfolio opportunities.

17 Now that said, I'm sure, and I think your
18 point is still very well taken and it really
19 represents, I think, an important emphasis for us,
20 for us to redouble those efforts. But that
21 intersectionality is something that's very clear to
22 us and we recognize it.

1 And it links a bit, I think, to Bob's
2 earlier point about multi-morbidity states, it's in
3 the same fashion health conditions really align with
4 multiple of the themes and we're very mindful of
5 that.

6 Nakela, did you want to add?

7 DR. COOK: The only other thing I wanted to
8 add is the intersectionality across the themes is
9 one way of thinking about things, and then also
10 within this theme, we're also looking at the
11 intersectionality across this continuum that you see
12 in the framework because there are components as
13 identified here, in that preconception space that
14 Bob Valdez raised around some of the risk factors,
15 the healthy -- I guess I'd say the health state of a
16 mother coming into pregnancy that also has interplay
17 for some of the other adverse pregnancy outcomes and
18 the kind of degrees of morbidity that may be
19 experienced through a pregnancy.

20 And so, there's that intersectionality as
21 well that I just wanted to point out that we're also
22 thinking about.

1 CHAIRMAN HOWERTON: Thank you team.

2 DR. DeVOE: We have Mike, Ryan, James, and
3 Kathleen.

4 DR. HERNDON: In response to the third
5 bullet point, additional areas in opportunities.

6 I do believe sticking with the prevention
7 of, has to do with preventing the unintended
8 pregnancies, and especially the unintended high-risk
9 pregnancies. And why are more primary care
10 providers not providing services for prevention of
11 unintended pregnancies?

12 I trained in the eighties and in my
13 training, obstetrics was not just an elective. It
14 was, and I know it's not to now either, but it is
15 kind of breezed over and you end up getting these
16 silos of primary care docs who have no clue whether
17 they can safely give an antibiotic to a pregnant
18 woman, and you know, which ones -- I think, also are
19 not comfortable doing long-term irreversible
20 contraceptives, or LARCs, you know, whether it be
21 low-dose progesterone IUD versus a Nexplanon-type
22 device. And in my training, that was not an option.

1 I mean, you learned how to do those things
2 and I did those things.

3 So I'm not sure what the research question
4 is in there, but I do think there's an additional
5 opportunity to address prevention of high-risk
6 pregnancies through the use of contraception and why
7 our medical community is no longer doing that
8 universally, and only doing it in an OB/GYN's
9 office. I think, is something that perhaps PCORI
10 could put something out that talks about competency
11 and the need.

12 CHAIRMAN HOWERTON: Thank you very much.

13 DR. DeVOE: All right, Ryan. And then,
14 we've got Kate jumping in the queue and James and
15 Katheen.

16 DR. BRADLEY: Yeah. Thank you. I don't
17 want to be overly redundant here. I think we've
18 already heard the message about the importance of
19 preconception and focusing on prevention as well as
20 the intersectionality with cardiovascular disease.

21 Still I'm struck by it and it seems that we
22 could go a long way by reducing the disparities in,

1 again, preventive cardiology care that many
2 populations are deserving of, and that we don't
3 necessarily know how to do well. We haven't done
4 well as a healthcare delivery system.

5 But in a lot of ways I see this and I'm
6 like, well, if we just did what we were supposed to
7 be doing as a healthcare delivery system, then that
8 would help with a lot of these outcomes quite a bit.
9 Obviously, we can't affect maternal age, we can't
10 affect the brief -- well, maybe we can affect the
11 brief inter-pregnancy interval, but these other risk
12 factors are clearly cardiovascular risk factors.

13 So that's just reiteration of the
14 importance of prevention and preconception planning
15 by giving patients what they deserve to receive.

16 The other point I would make is -- and I'm
17 going to admit that this isn't my area at all. So
18 excuse me if I'm not staying in my lane, but it
19 strikes me that what's missing here, or at least I
20 hypothesize is missing, in terms of risk factors.
21 Are additional psychosocial risk factors that are
22 very inconvenient to acknowledge.

1 Issues like PTSD. I would hypothesize that
2 preconception depression is a significant risk
3 factor. Other risk factors that we think of as
4 myocardial infarction risk factors, low locus of
5 control, persistent stress in the home and work.
6 Substance abuse, you know, behavioral risk. Those
7 aren't on this on this slide.

8 And obviously, there are huge disparities
9 in delivering care for mental health and behavioral
10 health services as well. Also, a very hard nut to
11 crack.

12 But I think just for the sake of rounding
13 out the bio/psycho/social conceptual model of risk,
14 they deserve to be on the slide and considered for
15 funding.

16 CHAIRMAN HOWERTON: Thank you.

17 DR. DeVOE: Kate and then James, Kathleen,
18 and Alicia.

19 MS. BERRY: Yeah. Thank you. I really
20 appreciate all of the previous comments and a lot
21 has been said, so just not to be redundant, but
22 maybe to add a couple things.

1 One is I think that in this category of
2 risk factors and really thinking about how can
3 providers and other stakeholders sort of be really
4 engage early with people who may be have a pregnancy
5 that's of high-risk and trying to engage early to
6 address those various risk factors as early as
7 possible to try to ensure a more positive outcome.

8 So that's one.

9 I think the early intervention particularly
10 targeting those people with high-risk factors.

11 Another, and I think others mentioned
12 different partnerships. The various stakeholders
13 that need to be engaged here.

14 I didn't hear hospitals. And I think, you
15 know, the vast majority of babies are delivered in
16 hospitals. And so, I think the idea of like making
17 sure we engage hospitals and there is -- CMS has
18 identified sort of this birthing-friendly
19 designation. So maybe something to think about in
20 terms of hospitals to engage in a study or in the
21 process.

22 And then the third thing I wanted to

1 mention, also maybe a sort of a policy overlay, but
2 there have been quite a number of states that have
3 extended Medicaid coverage for moms after they
4 deliver their babies instead of just three months to
5 12 months and that can have a really important
6 impact on outcomes as well as we think about more of
7 the post-partum timeframe around potential
8 depression and other factors.

9 So I just wanted to kind of add that to the
10 mix. Thank you so much.

11 CHAIRMAN HOWERTON: Thank you.

12 DR. DeVOE: James.

13 DR. SCHUSTER: Thank you. I'll be brief.
14 There's lots of great comments already.

15 Just one thought is, you know, you have a
16 lot of social dynamics or psychosocial dynamics
17 across the bottom of the slide and maybe a way to
18 address the point that I think maybe Kathleen or
19 others raised, was even thinking about those as risk
20 factors. The challenges with those are all really
21 risk factors and maybe the most important ones in
22 terms of long-term impact.

1 The second item I just wanted to mention
2 is, there's been a huge emphasis on value-based
3 payment policies across medicine. It's often not
4 clear how effective they are even with enhancing
5 medical care, quality of direct medical services.
6 But there's now a push to include an emphasis on
7 ensuring that providers address what we think of as
8 social determinants or a number -- preventive care,
9 a lot of the themes highlighted here, including
10 value-based payment models for maternity care.

11 So that would be, I think, a helpful thing
12 to evaluate whether that's impactful or not, only
13 because it's rapidly growing and there's this
14 assumption that it'll work.

15 CHAIRMAN HOWERTON: Thank you very much and
16 we'll close with those on the list now.

17 DR. DeVOE: Kathleen and Alicia.

18 MS. TROEGER: Thank you. Just to raise
19 briefly that the area that's adjacent to maternal
20 morbidity and mortality is really the impact on
21 neonatal health outcomes.

22 And yet, data collection in this area is

1 incredibly challenging for anybody who's done any of
2 that primary or secondary research as the neonatal
3 record is often decoupled, whether it's in claims or
4 HER, lab, or otherwise inpatient/outpatient from the
5 maternal record.

6 So you're pretty good right up until
7 delivery, and then post-Apgar and weight, the record
8 for the neonate disappears, which also makes
9 longitudinal research difficult to understand what
10 happened in prior pregnancies and neonatal outcomes
11 beyond demise.

12 So I think when we look at building
13 infrastructure capacity, particularly maybe within
14 the HSII program or other, one thing to just
15 consider here is I would just urge us to really fund
16 projects and investigators that are looking for ways
17 to optimize that data collection and potentially
18 link records, provide linkage or overcome that
19 challenge right now for investigators.

20 CHAIRMAN HOWERTON: Thank you very much.
21 And NOW our last question, I believe.

22 DR. FERNANDEZ: See I got called on twice.

1 So thank you for that and I hope it's not too much.
2 I want to say to my very respected colleagues,
3 everyone has contributed really important points
4 that I by and large agree with, and that I think
5 that my plea went completely unheard.

6 So I hope that Drs. Feldman, Wang, Cook
7 heard me because I think leadership is going to have
8 to come from the staff.

9 And I, for example, I favor doing a study
10 on whether or not the provision of the of food
11 vouchers for pregnant women results in a better
12 outcome both for the woman and for the baby. That's
13 a study that needs to be done. Really important
14 study. Not about maternal mortality and severe
15 maternal morbidity.

16 So it's an "and" comment, by all means,
17 let's do all of these other studies.

18 In the meantime, we have a legislative
19 outcome, and I find it striking the amount of
20 conceptual confusion that we're evidencing in not
21 thinking about these, and Bob to his credit,
22 attacked that head on and said, yeah, I know what

1 you want to talk about. And he said, but I want to
2 talk about something else. And that's exactly
3 right. It's an "and."

4 But if you want to talk about decreasing
5 maternal mortality and you want to talk about
6 decreasing severe maternal morbidity, then run the
7 numbers and run the proximate and think about
8 statewide partnerships and think about really big
9 expensive interventions where there's a will to do
10 that. And maybe even put out a call for proposals
11 in which the people who can apply would be the
12 statewide collaboratives.

13 So let's do all this other stuff but not
14 confuse --

15 CHAIRMAN HOWERTON: Thank you everyone.

16 And Greg, I hope you would feel similar to
17 Tracy, that you have had a lot of diverse input to
18 help you plan.

19 MR. MARTIN: Certainly. I definitely
20 appreciate it as well.

21 CHAIRMAN HOWERTON: Thank you very much.

22 And I believe Tracy, it comes back to you

1 for a second bite of the apple.

2 DR. WANG: Thank you very much. Let's talk
3 about sleep health here.

4 CHAIRMAN HOWERTON: And not by the way that
5 we've all had lunch in the late afternoon, here.
6 We're talking about a different sleep health.

7 [Laughter.]

8 DR. WANG: So sleep health is a high impact
9 issue. It's also resonated very strongly with
10 patients, caregivers, and other stakeholders that
11 we've interacted with. Next slide.

12 The next two slides give you a sense of
13 PCORI's portfolio in sleep health. There has been
14 three Engagement Awards, two Dissemination and
15 Implementation Awards funded, totaling about a
16 million dollars. And in part, these have really
17 helped us cultivate engagement and sleep research,
18 bringing stakeholders and researchers together, and
19 allowed PCORI to gather public input, informing our
20 research agenda.

21 On the right-hand side of the slide, you
22 see a topic brief has also been disseminated on

1 insomnia and other sleep disturbances in persons
2 with developmental disabilities. And this has also
3 informed our investigator community on opportunities
4 for research in this area.

5 One award on the dissemination front
6 compared -- was disseminating findings from a PCORI-
7 funded study that compared acupuncture with
8 cognitive behavioral therapy for patients with
9 insomnia. Another is focused on disseminating an
10 evidence-based peer support intervention to promote
11 adherence to positive airway pressure treatment for
12 patients with sleep apnea. Next slide, please.

13 On the CER-side, this slide shows that we
14 funded about 10 CER awards, totaling \$22 million to-
15 date. These include, and I won't go over these in
16 detail, but you can see this, and it's also very
17 publicly displayed on our website.

18 Four Studies on sleep apnea treatment and
19 diagnosis. Three studies comparing therapies for
20 insomnia. One study focused on general patient-
21 centered sleep health, and there also have been two
22 Methods projects that are focused on assessing sleep

1 health in an adult primary care population and in
2 pediatric healthcare settings as well. Next slide.

3 There's been growing recognition that sleep
4 is an important facet of health-related quality of
5 life. Sleep health is suboptimal and about 1-in-4
6 U.S. individuals, with insomnia and obstructive
7 sleep apnea being the two most common sleep
8 disorders.

9 Insomnia affecting about 10 percent of the
10 U.S. population.

11 Sleep apnea is vastly underdiagnosed. So
12 currently it's reported as making up five percent of
13 the population.

14 Both of these conditions can negatively
15 impact quality of life and productivity and can also
16 increase healthcare utilization. Many, many, many
17 sleep and health interventions have been put forth.
18 You can see this on the right-hand side of the
19 slide. You'll see that many of these are very
20 pervasive in the consumer space as well as the
21 healthcare space.

22 Rigorous CER has been rarely conducted

1 here. And in fact, gold standard treatments such as
2 CPAP for sleep apnea or cognitive behavioral
3 therapy, CBT, for insomnia are not widely accessible
4 and they're often very poorly adhered to, and are
5 often substituted for by vastly understudied
6 remedies or purported quick fixes.

7 Racial and ethnic groups are
8 disproportionately impacted by sleep disorders and
9 also represent one of the highest need populations
10 for sleep health research. The literature suggests
11 that Black and Hispanic individuals tend to have
12 worse sleep health, but are also less likely to
13 report those sleep disturbances, which leads to
14 under-diagnosis here.

15 And we've done prior landscape reviews.
16 But you can see that one has identified patients
17 with intellectual and developmental disability as
18 another high need group. Persons who conduct shift
19 work or who reside in rural settings are often
20 underdiagnosed or have limited access to high
21 quality care. And sleep has also been identified as
22 a growing need in children and adolescent health as

1 well.

2 So based on the many conversations with a
3 wide range of stakeholders, I think PCORI's future
4 work in sleep health should strive to capture the
5 full range of patient-centered outcomes. And these
6 include things like quality of life, which makes a
7 lot of sense here.

8 But also adverse health outcomes such as
9 risks for mental health, predilection for substance
10 abuse, an increased risk of cardiovascular and other
11 -- often multiple, chronic health conditions.

12 Given the impact on productivity the
13 economic impact of poor sleep health should also be
14 carefully evaluated. One of our stakeholders put it
15 to us this way, people don't go to a sleep doctor
16 because of the nighttime problems. They go to sleep
17 doctors because of daytime problems. Which I think
18 makes a lot of sense.

19 Data relevant to potential burdens and
20 economic impact should be collected and analyzed as
21 research outcomes, and better understanding of these
22 burdens and impact will inform not only the patient

1 and the caregiver in terms of decisions they make,
2 but also by clinicians and payers and healthcare
3 systems. Next slide, please.

4 So looking forward, we believe that sleep
5 health has many exciting opportunities that are ripe
6 for PCORI funding.

7 One key area is addressing disparities in
8 the screening, diagnosis, and treatment of insomnia
9 and sleep apnea. We would like to see our
10 investigator community develop responsive high-
11 quality proposals that consider either system-level
12 or multi-level interventions that can help close
13 care gaps here.

14 For example telehealth referral options or
15 creative deployment of home-based sleep study
16 resources or technology tools, can be used to
17 supplement primary care practice resources and to
18 try to increase access for patients residing in
19 rural communities.

20 And then in support of that, there's also
21 an opportunity here to rethink the methods for
22 assessing sleep health, as well as to re-engineer

1 how to improve sleep health, particularly in
2 underrepresented populations. We've had ongoing
3 meetings with subject matter experts and
4 stakeholders to discuss how to measure patient-
5 centered outcomes of interest, as well as what the
6 challenges are and the best practices are in
7 incorporating these measurements into what we hope
8 are pragmatic clinical trials.

9 Often here, one of the things we hear about
10 is the difference in treatment goals between what a
11 patient says is their treatment goal versus what a
12 provider thinks that treatment goal should be.

13 And this is, again, a unique research niche
14 that plays very, very well to PCORI strengths.

15 For sleep disorders, our stakeholders have
16 spoken loud and clear on the numerous non-
17 pharmacologic interventions that warrant robust CER,
18 whether in sequence or in combination treatment
19 strategies and that we need to understand the full
20 range of patient-centered outcomes that are measured
21 for each of these treatment options, so that we can
22 guide healthcare choices, knowing that there really

1 is no one size that fits all.

2 So here again, I'm going to point out that
3 sleep health really intersects well with many of the
4 other topic theme areas; such as IDD, such as mental
5 health, cardiovascular health, as well as our
6 national priority on achieving health equity.

7 PCORnet's capacity to support and
8 accelerate sleep-focused CER will continue to be
9 emphasized in our funding opportunities, and PCORI
10 will continue to fund the development of vehicles
11 and pathways for dissemination and implementation to
12 get evidence more rapidly into practice and will
13 continue to build the sleep community's capacity to
14 meaningfully engage with us and sleep related sleep
15 health-related CER.

16 So that's a very quick overview of our
17 portfolio and sort of future directions based on
18 again, strongly focused on stakeholder and research
19 community input here. And at this point, we can
20 forward to the next slide.

21 Again, I'm going to invite your thoughts on
22 what you think are important not to miss directions

1 for the sleep health portfolio.

2 CHAIRMAN HOWERTON: Thank you Tracy. And
3 before we open the floor for broad discussion, I
4 would like to ask both Kimberly and James to share
5 their thoughts. Perhaps Kimberly we can go with you
6 first.

7 MS. RICHARDSON: Sure. Thank you, Tracy,
8 for providing the overarching view. I think what
9 I'm going to add is really will mirror what you said
10 because I think intuitively, we all know we should
11 get a good night's sleep, or anyone who's cared for
12 a newborn, knows what sleep deprivation really feels
13 like in a short-term.

14 But for those who are really dealing with
15 this more as a chronic symptom, there's a lot more
16 to look into in terms of the research. We know that
17 our quality of sleep can be influenced by our diet,
18 physical activity, environmental factors, and even
19 genetics.

20 Some basic statistics that will sort of add
21 to what Tracy was saying was 84 percent, this was
22 out a 2019 poll of the U.S., 84 percent of people

1 don't get adequate sleep at least once a week.
2 Twenty percent complain of insomnia. Sleep apnea is
3 increasing as a direct result of rising rates of
4 obesity. For older adults, 17 percent of men and
5 nine percent of women over 50 have sleep apnea and
6 don't really recognize it as a real problem.

7 Again, in terms of population school aged
8 children are sleep deprived largely because of early
9 start times for school.

10 So we're looking at 60 percent of middle
11 school kids have sleep disturbances and 70 percent
12 of high school students.

13 And then, we think about COVID in terms of
14 how it has worsened sleep globally, right? The
15 stress of the pandemic due to social isolation,
16 decreased physical activity has resorted in
17 disordered sleep, and we can see that those results
18 appear greater for healthcare workers.

19 Of course, as we've all talked about it
20 across the continuum, sleep disorders are directly
21 associated with the onset and progression of
22 cardiovascular disease, depression, cancer, and can

1 increase the risk of infectious diseases.

2 So one of the things I wanted us to look
3 at, I'm glad that we're going to be focusing on
4 insomnia and sleep apnea, but we also want to --
5 let's look at some research that is going to focus
6 on those three areas. And more importantly, the
7 relationship between sleep and diet, sleep and
8 physical activity, and sleep and the health of the
9 population across wider groups.

10 So I wanted us to focus on, older adults as
11 well as those underserved populations. They're also
12 areas where we could look at in terms of sleep and
13 cognitive function in lower income Blacks. We can
14 look at qualitative studies on the perception of
15 sleep apnea.

16 I know personally for myself, it took me
17 almost a decade to come to grips with the fact that
18 I had it, and I was always looking for ways to get
19 around it. And this second time around when my
20 doctor told me about it, I said, "Oh, I don't want
21 to spend overnight in the sleep study." They said,
22 "Oh no, you can take it home and do it at home." We

1 found a lot of different ways over time in terms of
2 technology to bring it closer to the patient.

3 I'd like to see us do a lot more with women
4 from different ethnic minority groups and the
5 stresses that it takes because there's this whole
6 big cycle around sleep. It's not just sleep, it's
7 sleep as it relates to pain, as it relates to
8 fatigue, as it relates to stress of the day, as it
9 relates to depression, and how we are dealing with
10 difficult emotions throughout the day. And it would
11 be interesting to see the intersections between
12 those symptoms that are cyclical and relate to
13 sleep.

14 And lastly, of course, for me I'm really
15 interested in how we would be able to do
16 longitudinal studies on allostatic load as it
17 relates to sleep.

18 So those are my thoughts. Thank you.

19 CHAIRMAN HOWERTON: Thank you, Kimberly.
20 James, may we ask for yours? Sure.

21 DR. SCHUSTER: Thank you. Those were great
22 comments and I'll try to be concise and not

1 duplicate them.

2 One was, I was struck by the fact in
3 Tracy's review that we've funded relatively few
4 studies around sleep and we're not, I think,
5 anomalous. There's not all that much sleep research
6 that occurs in the context of research, and broadly,
7 and that's -- from an economic perspective that's
8 probably driven by the funding related.

9 So I'm really glad that we're looking at
10 it.

11 One of the things that I did want to
12 comment on, related to what Kimberly mentioned is
13 that it is probably important as we think about
14 this, to think about sleep disturbances that are
15 secondary to another underlying issue, that's clear.
16 You know, whether it's a behavioral or physical
17 health issue, as opposed to what might be a primary
18 sleep disturbance. And there's obviously going to
19 be a huge gray zone. But it, I think those probably
20 have potentially significant implications for how
21 you think about it, obviously, and how you treat it.

22 And that, I think typically we've thought

1 about sleep disturbance as often secondary to
2 something else, but often the other illnesses are
3 actually secondary to the sleep disturbance. So I
4 just think it's important to think about how we
5 might want to approach that.

6 I wondered also, I don't think we touched
7 on it there, but if there's also a role to think
8 about prevention in the area of sleep disturbance.
9 Particularly since it is a common problem, you know,
10 at relatively young ages. Is that another area that
11 we want to add to this?

12 And then the last one is, this is a really
13 -- there's almost an innumerable set of questions
14 that we could address. So it sounds like you're
15 really trying to think critically about how we might
16 want to pick out two or three or four, to really
17 highlight for the researchers and I think would be,
18 that's -- I think always useful, but probably
19 especially so in a topic like this that's so
20 heterogeneous.

21 CHAIRMAN HOWERTON: Thank you, James.

22 DR. SCHSUTER: You're welcome. And I

1 believe we are open to questions.

2 DE. DeVOE: I saw Chris and Connie.

3 MR. WHITE: Thank you. I really appreciate
4 this. And similar to Kimberly, I struggle with
5 sleep apnea myself, so I can speak firsthand about
6 it.

7 But I do think there's a very unique
8 opportunity because many of those medical device
9 companies have now created digital companions to go
10 along with these actual devices. So no longer do
11 you see a world where you have a device and you have
12 technology. I mean, they're essentially in sync.

13 And so, but there is an opportunity to sort
14 of link much of that data that you're capturing with
15 those devices, with much of the phenotypic data that
16 we're capturing in many of the EHRs.

17 So I think that if we can better understand
18 the -- to create longitudinality, I think that was
19 mentioned earlier, is key. I also think being able
20 to do that sort of subpopulation analysis to better
21 understand disparities in the sort of diagnosis, the
22 treatment plans, and what we think are the

1 effectiveness of many of these different types of
2 therapies. Much of that is missing in the space.
3 So you end up just doing just trial and error with
4 many of these particular interventions. So I think
5 that would be critical.

6 And then, I think there's an opportunity
7 too to better define what PROs are relevant to
8 patients as it pertains to this particular area,
9 too.

10 So all in all, I think much of it was
11 reflected in the looking at the opportunities slide.
12 But I just wanted to reaffirm that I thought
13 directionally it was the right thing to do.

14 CHAIRMAN HOWERTON: Thank you.

15 DR. HWANG: Thanks. Tracy, I appreciated
16 that overview and great comments from folks already.

17 I also want to emphasize that I resonate
18 with this being a priority topic area, especially
19 with respect to its linkages to cardiometabolic
20 health. As you mentioned, diabetes, obesity,
21 cardiovascular health, I think that connection is
22 important and really could be reflected across,

1 PCORI's portfolio work overall to kind of continue
2 to elevate sleep as a factor.

3 I will say for myself, some of the
4 experiences that we've had in working with evening
5 shift workers, we actually have done some work with
6 a trucking agency, and what's funny is when you sit
7 in our care management rounds, the sleep
8 optimization and challenges for that inevitably come
9 up.

10 So it's funny in many cases before where
11 I've sort of mentioned some of our, you know, the
12 members that we work with, this is actually a very
13 big factor, right? And I think many don't actually
14 recognize that there's some education involved that
15 sleep really does have this direct impact on your
16 glucose levels the next day or the next following
17 weeks as well as obesity, et cetera.

18 So, one thing I would point out, and I know
19 folks may know this, I have sort of an interesting
20 connection with the Pokémon Corporation.

21 [Laughter.]

22 DR. HWANG: We'll leave that aside, but the

1 but we keep up with the news there and what's
2 interesting is that they're coming out with this
3 product called Pokémon Sleep.

4 If you want to Google it, it's coming out
5 in the summer.

6 But it's fascinating. It sort of goes to
7 this constant of gamification and their goal is to
8 help individuals around the world get better sleep
9 where you're almost playing, like where you have an
10 app and a device that actually monitors sort of your
11 movement on your pillow, right? And the better
12 sleep that you get, the more sort of Pokémon that
13 you capture, et cetera.

14 Now, obviously this is set for a specific
15 community, so I'm just raising this, but I think
16 there is work out there in terms of the industry and
17 people that are studying some of this further or
18 providing more context or insights in terms of
19 gamification technology, digital health apps could
20 really reach wide audiences.

21 I would love for us to explore that. So I
22 wanted to share those thoughts.

1 CHAIRMAN HOWERTON: Thank you.

2 DR. WANG: In my former life, I actually
3 wrote a paper on Pokémon gamification of physical
4 fitness, so this is fun.

5 DR. HWANG: Pokémon Go, augmented reality.
6 Absolutely.

7 CHAIRMAN HOWERTON: All right, thank you.
8 Next?

9 DR. DeVOE: Alicia.

10 DR. FERNANDEZ: I just -- I really resonate
11 with two issues. One is the screening for OSA and
12 the other one is obviously the treatment for OSA.

13 It is so hard and I'm so glad to see that
14 we have some studies already in the field helping
15 patients with that and to see what can help.

16 But the screening is also as you know, a
17 huge issue and it's like incredibly primitive, and I
18 wonder whether there could be some comparative
19 effectiveness there, particularly around things --
20 around not only what instruments are used, but how
21 the workforce is structured. Like who's doing the
22 screening and so on and so forth.

1 And I wonder whether that would be an area
2 to call out in a larger TPFA on OSA?

3 And then, and then I was actually going to
4 say, I didn't know about Pokémon Sleep, but I know
5 that many times PCORI has been interested in
6 emerging technologies. If there were emerging
7 technologies that had something to do with improved
8 treatment for OSA. Boy, that would be really
9 wonderful for our patients. So thanks for that.

10 CHAIRMAN HOWERTON: Thank you.

11 DR. DeVOE: Ryan.

12 DR. BRADLEY: Yeah. This is really
13 fantastic discussion and some thoughts came to mind
14 while I was listening and, you know I have a bit of
15 experience investigating mind/body interventions for
16 insomnia. And with those studies, and some
17 conversation was or some discussion was had on this
18 already, but we've run into some significant
19 methodological challenges of rigorously measuring
20 sleep and sleep quality. And patients don't love
21 sleep studies. People don't love sleep studies.
22 They're not really practical to incorporate into

1 research. They're very expensive. People don't
2 sleep well, anyway. It's very, very challenging.

3 And wearable devices, as you know, is just
4 the wild, wild West in terms of what's claimed
5 versus the reality of sleep assessment.

6 So that's an area where I guess I would
7 advocate for more methodological development and
8 standardization in any research that we fund,
9 especially when we're looking pragmatically at real
10 world outcomes and patient experience with sleep and
11 assessment of sleep.

12 So that's request number one.

13 The second request is, there's a lot of
14 interest in the public in taking natural products
15 for sleep. And I would just really advise some
16 careful consideration and caution in this area
17 because I think in the absence of a detailed
18 understanding of how those substances affect sleep
19 architecture, it's very tempting to attempt to say
20 we'll compare melatonin to a sleeping drug of one
21 type or another or we'll look at Valerian or we'll
22 look at Chamomile or a combination of herbal

1 products.

2 And I just want to be clear that a lot is
3 known about how these natural products impact sleep
4 and sleep architecture. And so, unless those
5 interventions are matched with the physiology of the
6 individual very carefully, the results will be
7 neutral and it'll appear as though there's no signal
8 for benefit. And so, should those funding
9 opportunities be released or considered that the
10 right reviewers are brought in to make those
11 assessments? It's critically important to the
12 potential signal.

13 The third point is another plug for the de-
14 implementation of unsafe practices when it comes to
15 prescribing behavior for insomnia, especially when
16 it comes to older adults in the use of
17 benzodiazepines and other potentially hypnotic
18 drugs. We know that this is dangerous. And so,
19 that's possibly another opportunity for some
20 comparative effectiveness research to reduce that
21 common practice.

22 And fourth point, this was actually kind of

1 a dinner table discussion last night, is
2 opportunities to improve sleep quality in the
3 hospital and issues related to you know, lack of
4 integration in care team rounding. Waking patients
5 up in recovery at two o'clock in the morning, four
6 o'clock in the morning, panels of bright lights and
7 buzzers and bells that patients have no control
8 whether to turn off.

9 All of these things, I would submit it
10 could be fascinating to look at recovery time, pain
11 outcomes, other very important patient outcomes by
12 cleaning up sleep hygiene in what are supposed to be
13 our healing and recovery spaces. Thank you.

14 CHAIRMAN HOWERTON: Thank you. Connie, is
15 your card still up or did it come up again?

16 Are there other questions from online or in
17 the room?

18 Well, again, I would say we have gotten a
19 very rich amount of feedback, although the answer to
20 your last point is easy, Ryan. Don't go is the
21 answer to sleep in the hospital.

22 [Laughter.]

1 CHAIRMAN HOWERTON: Just don't go there.
2 That's the only solution for sleeping there.

3 Thank you all so very much. I believe if
4 I'm not misinformed, that no one has joined us for
5 public comment?

6 And if not, then we will move to Nakela for
7 some closing remarks. You may have the microphone.

8 DR. COOK: Well, thank you to all of our
9 Board members for a really exciting day, a lot of
10 Board engagement on some really important topics.

11 We're excited to take in all the input and
12 feedback that we've received and continue to work to
13 move things forward with your guidance.

14 And I also want to thank all of the teams
15 at PCORI for really an outstanding meeting, to
16 supporting an outstanding meeting today, and the
17 presentations really that teed up some robust
18 discussion. I think they were really exciting and
19 well done.

20 And just as I reflect on the day, you know,
21 we talked about early on a lot of the funding
22 opportunity activity going on at PCORI and some

1 highlights from that activity. We heard a lot of
2 excitement around the Health Systems Implementation
3 Initiative, and thinking about how we make
4 connections across PCORI with the work that's going
5 on at PCORnet and thinking about that distribution
6 of coverage in terms of the health systems involved
7 in PCORI activities.

8 And we look forward to bringing back some
9 updates and further discussion to you on the Health
10 Systems Implementation Initiative. I heard that
11 interest.

12 I also heard in our discussions of the
13 Methodology Committee nominations, a desire to talk
14 further about the outreach strategy for our next
15 round of solicitations and appointments for the
16 Methodology Committee. So we look forward to doing
17 that with all of you. And I want to take a moment,
18 again, to congratulate all of the appointees to the
19 Methodology Committee. We are looking forward to
20 working with you and this is a really exciting time
21 for us that PCORI.

22 We heard a lot of discussion around several

1 strategic issues, including the commitment plan, and
2 appreciate your feedback in terms of the agreement
3 with that overall front-loaded approach and the
4 update on the model, and really a charge to us to
5 think about how we may think about rapid approaches
6 in a current year of commitments to handle what may
7 be unobligated funds as we're moving into later
8 parts of a fiscal year.

9 So we look forward to working with the FAC
10 and coming back to you, further, on some discussions
11 there.

12 And thank you for all the input and
13 interest related to PCORnet, and the I think the
14 valued recognition of the progress that's being made
15 on the evaluation strategies and the strategies that
16 were put forward to really advance the national
17 priorities and we look forward to providing further
18 updates on how that's going, and we'll be talking
19 more about PCORnet in the coming board meetings.

20 And lastly, we couldn't have ended the day
21 better than talking about the topic themes. I think
22 there was a lot of engagement and pre-work, I could

1 tell from many of you, in terms of thinking about
2 these topic themes.

3 I heard some clear takeaways there about
4 thinking about the niche areas for PCORI and you
5 know, if it may be about the opportunities we have
6 because we really approach things in a pragmatic way
7 to think about constellation of conditions that
8 really appear in the clinic and how we may be
9 uniquely positioned to think about emerging
10 technologies across several of these areas, and the
11 outcomes that are most important to patients and to
12 stakeholders and thinking about that from a
13 perspective of patient-centered value.

14 And we also heard, perhaps unique niche for
15 PCORI to also think about the de-implementation
16 aspects, and I think those were really great
17 takeaways.

18 I also heard doubling down on the concepts
19 of prevention on equity and disparities across these
20 themes and thinking about the intersections amongst
21 the themes. So we're really excited to bring back
22 in the next Board meetings, more themes for our

1 topic themes for discussion, as well as a follow on
2 in how we're incorporating what we heard today into
3 some next steps.

4 So thanks again for all of your attention
5 and engagement and for really a wonderful day.

6 [Applause.]

7 CHAIRMAN HOWERTON: Yes, I would like to on
8 behalf of all of the Board, convey our thanks to you
9 and the whole team who made this such a wonderful
10 day. And for myself, personally, convey my thanks
11 to all of you on the Board who give of your time.
12 PCORI has greatly benefited for you being willing to
13 give your time and talents to us.

14 Thanks to all who joined us today.

15 Today's meeting agenda slides, archived
16 webinar, and approved minutes from the February
17 14th, 2023 meeting will be posted to PCORI's website
18 within a week. As always, we welcome your feedback
19 at info@PCORI.org, or through our website,
20 www.PCORI.org. Thanks again for joining us.

21 [Whereupon, at 2:54 p.m. EST, the Board of
22 Governors meeting was adjourned.]

B&B REPORTERS
29999 W. Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541