

PATIENT-CENTERED OUTCOMES RESEARCH INSTITUTE

BOARD OF GOVERNORS MEETING

Monday,
May 13, 2019

The Park Hyatt Hotel
1201 24th Street N.W.
Washington, DC 20037

[Transcribed from PCORI teleconference.]

B&B REPORTERS
29999 West Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

APPEARANCES:

BOARD OF GOVERNORS

Kara Ayers, PhD
Lawrence Becker
Francis S. Collins, MD, PhD
Jennifer DeVoe, MD, DPhil
Alicia Fernandez, MD
Christopher Friese, PhD, RN, AOCN, FAAN
Christine Goertz, DC, PhD (Vice Chairperson)
Michael Herndon, DO
Russell Howerton, MD
Gail Hunt
Gopal Khanna, MBA
Sharon Levine, MD
Freda Lewis-Hall, MD
Barbara J. McNeil, MD, PhD (via telephone)
Grayson Norquist, MD, MSPH (Chairperson)
Ellen Sigal, PhD
Kathleen Troeger, MPH
Janet Woodcock, MD
Robert Zwolak, MD, PhD

B&B REPORTERS
29999 West Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

AGENDA

	<u>Page</u>
1. Welcome, Call to Order and Roll Call Grayson Norquist, MD, Board Chair	5
2. Consider for Approval: Minutes of the April 16, 2019 Board Meeting and Amended Committee Charter	7
3. Executive Director's Report and Q2 Dashboard Review Joe Selby, Executive Director	9/18
4. Research Portfolio Exploration Series: Portfolio Overview Joe Selby, MD, MPH	59
5. Research Portfolio Exploration Series: Focus on Telehealth Portfolio Penny Mohr, MA, Senior Advisor	64
6. Consider for Approval: Funds to Support Implementation of the PCORnet Common Data Linkage Method Kathleen Troeger, MPH, Chair, Research Transformation Committee (RTC)	97
Maryan Zirkle, MD, MS, MA, Senior Program Officer	98
7. Break	112

AGENDA [continued]

Page

8. Dissemination and Implementation Update:
Overview and Project Focus
- Jean Slutsky, PS, MSPH, Chief Engagement
and Dissemination Officer 112
- John K. Cuddeback, MD, PhD, Chief Medical
Informatics Officer, American Medical
Group Association (AMGA) 117
9. Public Comment 158
10. Wrap up and Adjournment
- Grayson Norquist, MD, Board Chair 158

P R O C E E D I N G S

[9:05 a.m.]

1
2
3 OPERATOR: Dr. Norquist, the floor is
4 yours.

5 CHAIRMAN NORQUIST: Thanks. Good morning
6 and welcome to the May 13th meeting of the PCORI
7 Board of Governors. I'm Gray Norquist, Chair of the
8 Board. I want to welcome those of you who are
9 joining us for today's Board meeting, which is being
10 held in person in Washington, D.C. and via
11 teleconference and Webinar. We're very pleased to
12 have you here.

13 As a reminder, instructions for logging in
14 or calling in today are available on our website,
15 PCORI.org/events. All board members are present
16 with the following exceptions; Trent Haywood and
17 Michelle McMurry-Heath and Kara Ayers is on her way,
18 so she will be here soon.

19 I want to remind everyone that disclosures
20 of conflicts of interest of members of the Board of
21 Governors are publicly available on our website and
22 are required to be updated annually. Members of the

B&B REPORTERS
29999 West Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 Board of Governors are also reminded to update your
2 conflict of interest disclosures if the information
3 has changed. You can do this by contacting your
4 staff representative. If the Board will deliberate
5 or take action in a matter that presents a conflict
6 of interest for you, please inform me so we can
7 discuss how to address the issue at the time.

8 If you have questions about conflicts of
9 interests or recusals relating to you or others,
10 please contact your staff representative.

11 All materials presented to the Board for
12 consideration today will be available during the
13 Webinar and then after will be posted on our
14 website. The webinar is being recorded and the
15 archive will be posted within a week or so. We have
16 a scheduled public comment period today from 12:30
17 to 1:00 p.m. Eastern daylight time.

18 If you are interested in registering to
19 provide public comment, please visit our event page
20 for instructions. Alternatively, you can always
21 email us and Info@PCORI.org or provide input through
22 our website.

B&B REPORTERS
29999 West Barrier Reef Boulevard
Lewes, DE 19958
[302] 947-9541

1 Finally, a reminder. We are live Tweeting
2 today's activities on Twitter and you can join the
3 conversation with @PCORI. So Joe, did you want to
4 add anything at this point?

5 DR. SELBY: No. No Gray, thanks.

6 CHAIRMAN NORQUIST: Okay, so the first item
7 up is the consent agenda. Do I have to move it
8 forward?

9 So on the consent agenda are the minutes of
10 our April 16th Board meeting and an amended
11 Governance Committee Charter that includes
12 provisions relating to the Governance Committee Vice
13 Chair selection and voting by written consent on
14 audit matters. So are there any additional comments
15 or corrections to the minutes and if anybody wishes
16 to take any items off the consent agenda, let me
17 know.

18 I don't see anybody doing that. Okay.

19 So let's see, I think at this, yeah, I
20 think it's, I'm just reading my notes here about
21 what else Mary wanted me to --

22 DR. SELBY: Is there anything else Mary?

1 CHAIRMAN NORQUIST: No, we're okay on this.
2 You had something that the governance committee, I
3 didn't think there was anything else.

4 UNIDENTIFIED SPEAKER: No. Just need to
5 clean up items [off microphone].

6 CHAIRMAN NORQUIST: Thank you. Yeah, that,
7 that was the point I wanted to just clarify.

8 So Larry, where are you going to make a
9 motion?

10 MR. BECKER: Yeah, I'll make a motion to
11 move these consent agenda items.

12 CHAIRMAN NORQUIST: Okay. And Chris,
13 second. Okay. Thanks.

14 All right, I think we can do this by voice
15 vote, is that correct? Yeah. Okay. All in favor?

16 [Ayes.]

17 CHAIRMAN NORQUIST: You can raise your
18 hand, actually, we don't have to do it --

19 [Hands raised.]

20 CHAIRMAN NORQUIST: Anybody opposed? And
21 anybody abstaining?

22 Okay, great. So Joe, you want to move it

1 on? There we go. So now Joe Selby, who's our
2 Executive Director will give his report and review
3 of the Dashboard.

4 DR. SELBY: Yep. Thanks Gray. Good
5 morning everyone. I was reading through the note
6 that we sent out that I wrote that accompanied the
7 materials last weekend and I made a fateful
8 statement that we almost always have lovely weather
9 here at this time of the year.

10 [Laughter.]

11 DR. SELBY: So my apologies for jinxing it.

12 So we're going to start with the return to
13 our strategic planning process, which has been
14 really ongoing, you know, in a kind of continuous
15 way for about a year and a half now, but
16 particularly back to the planning session that we
17 held in March of this year, we began thinking about
18 PCORI 2.0, as we call it, the long for PCORI post-
19 reauthorization.

20 And we identified several topics that we
21 would continue discussing at board meetings, at
22 public board meetings throughout 2019. We're in

1 this, I call it a lull, while we -- I mean we
2 continue funding research and we continue managing
3 research, dissemination, implementation and all, but
4 there's a sense of waiting for the decision to be
5 made. And in that, it's a good time to think about
6 where we've gone, what we've produced, and how we
7 can make it even better.

8 So one of the areas that we want to
9 discuss, and this is always a factor, is how we
10 continue to revitalize, enhance, enlarge, improves
11 the quality of our topic generation process starting
12 with stakeholders, and make it more transparent. So
13 one of the topics for today's meeting is, in fact,
14 an aspect of this topic generation and how we will
15 continue to grow at into PCORI 2.0. So that's a
16 series of presentations that we'll kick off today.
17 And today we will start with are a relatively large
18 telehealth portfolio and you'll have a presentation
19 from one of PCORI's scientists on that.

20 Also another big area in the strategic
21 planning process is the area of disseminating and
22 implementing findings. The Board has been seemingly

1 clear that dissemination and implementation
2 activities are important to them and to PCORI.
3 And so, that discussion we'll kick off today with
4 the presentation from Jean and from a guest.

5 So you have seen a slide quite a bit like
6 this before. So starting with the green topics in
7 the center and moving to the right, we receive ideas
8 for topics that we can prioritize and fund research
9 in from a variety of sources, which I'll get to in a
10 minute. But we then, PCORI staff working closely
11 with the SOC, in particular, triage these initial
12 ideas. This may generate the need for topic briefs
13 or evidence maps or systematic reviews.

14 As the topics develop and get prioritized
15 and refined, they eventually make their way to
16 funding or commitments for funding in a variety of
17 ways. It may be a targeted funding announcement as
18 you know, it may be placed on the list for the
19 pragmatic clinical studies or it may be listed as an
20 area of special interest in our broad funding
21 announcements.

22 So we have a lot of ways of telling the

1 world about the topics that we're interested in, and
2 the topics for which funding exists.

3 So now back to the right -- to the left.
4 There are three broad sources of topics. One is by
5 conducting landscapes across the spectrum of
6 clinical care of high priority topics, topics that
7 are changing; topics for which there's a lot of
8 variability and particularly topics, almost all
9 topics, that create a huge burden either for society
10 by virtue of their numbers or for individual
11 patients by virtue of high degree of suffering, and
12 maybe an absence of available treatments. So that
13 would be the case with rare diseases.

14 So we have commissioned a new landscape.
15 The National Academy of Medicine back in 2009
16 published the 100 CER priority areas and you could
17 almost think of this as an update of that. We will
18 within a two to three months a landscape report for
19 the Board and the SOC particularly to consider.

20 The second source of topics is an analysis
21 of our current portfolio and to look at what we've
22 funded, what we've published and what appears to be

1 remaining gaps. So in many instances we will have
2 funded a good amount of research, but there will be
3 obvious remaining gaps. And that's the report that
4 you'll hear today on telehealth. And in an ongoing
5 way, I'll show you in a minute, that the next four
6 or five of those.

7 And the third is ongoing input from
8 stakeholders and applicants. And we have many ways
9 including forums and one-on-one meetings with
10 organizations, patient, clinician, payer, purchaser
11 meetings that generate topics that way and we're
12 working on that and you'll hear reports from time-
13 to-time on steps we're taking to improve and make
14 that flow more systematic, as well.

15 So this is just a preview today,
16 telehealth, Penny Mohr will be presenting on
17 telehealth and this will be a regular series in the
18 coming months. We have already in preparation
19 presentations on our portfolio and opioids, on our
20 portfolio in multiple sclerosis, on mental health
21 and cancer. In just a second I'll ask you if
22 you've got suggestions for other portfolios that

1 you're aware of, you're aware of the funding and if
2 you'd like us to put together a report on that.

3 So that is it except for a discussion of
4 the agenda that's coming up. So this would be a
5 good place to stop and see if there are questions
6 about the topic generation process or other topics
7 that you'd like to hear us put together, other than
8 these five here.

9 Bob.

10 DR. ZWOLAK: Joe, I apologize that I missed
11 the retreat but if you look at this list of
12 telehealth, opioids, MS, mental health, and cancer,
13 and you think about what's different on this list
14 four of them are diseases or disorders and one of
15 them is a means to deliver care. So how exactly
16 does that one get on the list?

17 DR. SELBY: Because there is -- as you'll
18 hear today, there really are a large number of
19 projects and actually, you know, I could name a few
20 others like shared decision making or community
21 health workers that are more in that genre. It's
22 interesting. You're right, it's interesting that we

1 wound up with four conditions and one systems
2 approach. But again, you know, that's kind of our
3 character is that we have a lot of work focused on
4 diseases, but we do also have quite a lot of work on
5 systematic approaches that go across diseases.

6 Alicia.

7 DR. FERNANDEZ: It was a great list and I
8 think it'll be really great to take a deep dive and
9 to think about dissemination along these lines. I
10 would add community health workers to this list.

11 DR. SELBY: Yes.

12 DR. FERNANDEZ: Everywhere one goes, one
13 gets asked about it. There has not been, to my
14 knowledge, a good synthesis of that literature and
15 PCORI has done a lot of work in here, so I would
16 consider adding that.

17 DR. SELBY: Excellent. Thanks.

18 Larry.

19 MR. BECKER: How about the evidence
20 synthesis map that we saw about a year ago and kind
21 of how that has developed and how that's been used
22 and you know, is it making in roads? Is it hitting

1 a, you know, a chord with people out there?

2 DR. SELBY: Okay. My hunch is that Jean
3 might be able to update us on that later this
4 afternoon.

5 Chris, were you pointing to someone?
6 Ellen. Oh, Ellen, I'm sorry.

7 DR. SIGAL: Sorry I've been playing with
8 the WIFI and unsuccessfully.

9 So I'm a big believer in all of this
10 telehealth. But one of the areas, recently,
11 probably every week I have someone come to my office
12 with metrics on telehealth and on specific apps on
13 cancer, specifically, making claims that I find are
14 not -- how should we say it? Aspirational rather
15 than real.

16 So how do we validate these tools, because
17 the end points in what they're measuring are soft
18 and there've been a lot of publications, and again,
19 the only area that I can really talk specifically
20 about is cancer, but even in symptom management some
21 people are suggesting there are, you know, survival
22 benefits to it and lots and lots and lots of, you

1 know, adherence. So how do we kind of see what is
2 really -- what we can replicate and what's real
3 other than aspirational claims?

4 DR. SELBY: Yeah. Well, again, I think
5 that's a great question for Penny. She's going to
6 speak directly to the apps, which is more mHealth,
7 which is part of telehealth. And I know she has
8 some comments directly related to cancer in that
9 area, but you're totally right. That evidence map
10 that Larry mentioned -- was an evidence map. One of
11 them was an evidence map of mHealth and it revealed
12 that there are a lot of very small, low-quality
13 studies and not much in the way of sizable or
14 definitive studies. So excellent point.

15 Jen.

16 DR. DeVOE: I'm just echoing what folks
17 have said about healthcare delivery and where we're
18 looking at individual diseases. I wonder about
19 something that's more related to population health
20 or a comprehensive look at health equity.

21 I know we have quite a robust health
22 disparities portfolio, and so thinking about where

1 are we closing gaps here and where are gaps widening
2 and whether it's delivery of care or specific
3 diseases. Can we get one focused on our population
4 as a whole and more comprehensively on people?

5 DR. SELBY: Thanks. Yeah, we'll think
6 about it. We definitely will -- we can put one
7 together on disparities and giving it this
8 population health flavor is an interesting angle.
9 Thanks. Any others?

10 Okay. Not seeing any other tent cards, we
11 can move along.

12 Here is just a preview again of the agenda
13 today.

14 The next thing on it is the Quarter 2 2019
15 dashboard followed by the first of the research
16 portfolio explorations. I'm going to give some
17 opening -- brief opening comments and then hand it
18 off to Penny Mohr and she will give you the focused
19 presentation on telehealth. Then after a break at
20 11:15, we'll resume at 11:30, and there will be a
21 proposal coming from the Research Transformation
22 Committee to approve a commitment of up to \$2

1 million for a linkage method within the PCORnet. So
2 we'll explain that more then.

3 And then the presentation from Jean and
4 from the guest speaker on a dissemination and
5 implementation, including a focus on a particular
6 sizable implementation process that comes from
7 PCORI-funded publication. So that's it.

8 We'll have a public comment period and we
9 will be done with the open meeting at one o'clock.

10 So again, another quarter has passed and
11 here is our dashboard for Quarter 2 of 2019, a
12 familiar format by now all of you. But there's --
13 you can train your eyes first on the two yellow bars
14 as opposed to the green bars or gray bars. And the
15 yellow bars, the first one is the funds committed.
16 This one is -- often falls a little short this time
17 you'll see that it just fell short by the skin of
18 its teeth, just barely, and that is entirely due to
19 a post, a delay in a commitment within PCORnet for
20 the coordinating center.

21 It turned out that the coordinating center
22 had some remaining funds from the last cycle, and

1 so, it was able to extend that funding and covered
2 this quarter and perhaps next quarter.

3 Meanwhile, with some change in leadership
4 at PCRFF, it was a very good time to go more
5 deliberately on this, a negotiation of a new
6 proposal for the coordinating center. So this
7 actually turned out to be a good thing and it's, as
8 I said, if you can imagine if the \$15 to \$16 million
9 that was set aside for that were added, we would be
10 well above that green line.

11 And I'll just speak to the second one
12 that's yellow at this point, the speed of PCORI peer
13 review, this has always been high, higher than the
14 target six months. That target six months may be on
15 the low -- just an almost unrealistic target given
16 the nature of peer review and the time it takes to
17 go back and forth.

18 But the good news buried in this is that --
19 or apparent, really is the continued decline in the
20 median times for the meeting time is less than seven
21 months now. So it's the median is just over one --
22 is just under a month longer than the target for

1 everyone. So continued progress and we're going to
2 have an in-depth report on that very shortly as part
3 of this presentation.

4 So in going back to the top row and just
5 moving quickly across under operational expenses, we
6 are -- we met our target and so we're a little
7 underspent. Being a little underspent in this
8 particular year is not a bad thing, but basically we
9 are spending almost as we projected.

10 In terms of project performance 91 percent
11 of projects appear to be on target. That's just a
12 tiny blip up, but it did manage to cross the target
13 line. So we're back in a good territory and that
14 bar is no longer yellow. So that was good.

15 And moving to the second row on the left.

16 In terms of results published in the peer
17 literature, we don't have a target here, but more is
18 better obviously. And you'll see that we had a good
19 number of 22 CER publications and about close to 80
20 publications total in Quarter 2. And that number
21 sometimes rises a bit after this presentation
22 because some papers just don't quite make it to Pub

1 Med or we just don't quite find them by the time of
2 this report, but 22 is a good number of CER
3 publications.

4 The Altmetric score jumps around a lot from
5 a quarter-to-quarter, but you see we just fell just
6 under the target this time, we were well-above the
7 target last time. And the target is that we would
8 like to have at least 10 percent of our publications
9 in the top 10 percent of Altmetric scores
10 controlling for the time period and the actual
11 publication -- the journal in which it's published.

12 So we compare our papers to other papers in
13 the same journal at the same time and 9 percent of
14 ours we're in the top 10 percent.

15 And then to the far right, here, the
16 results viewed on PCORI.org. We've set a target,
17 we'd like to have at least 80 views per project per
18 quarter, and I think we were at 77 or 78 this time,
19 78. Just a little bit under that target, but this
20 means, the number of projects that are posted on
21 there, the results, keep going up quarter-by-
22 quarter. So even as the number of projects goes up

1 we're still having nearly 80 visits to the website
2 per project, per quarter. So that's a pretty
3 impressive number of contacts.

4 Your background materials in the Board book
5 actually do give some information on the time spent.
6 And really the time spent is often when I click, I
7 spend 30 seconds, but this is well into the minutes
8 on average spent on these sites.

9 Next, on the bottom is how we disseminate
10 our findings. And there are many ways as you know,
11 but one of them is to get results from our published
12 studies into widely used clinical decision support
13 tools like UpToDate, which is an online set of
14 guidelines that physicians and other clinicians
15 usually carry with them on their iPhone. And we've
16 had 27 of our publications added to at least one
17 UpToDate recommendation and we had five more, I
18 believe that's a five, in Quarter 2. And again,
19 your materials will mention exactly what they were.

20 In addition, moving to the right one.
21 Others examples of uptick. We also had -- it looks
22 like about 10 or 11 additions, mentions, citations

1 of PCORI publications in systematic reviews,
2 clinical practice guidelines, or other policy
3 documents.

4 So again, this is an important and an
5 effective way of getting PCORI findings out to
6 broader audiences, both research audiences with the
7 systematic reviews, but then clinicians in terms of
8 guidelines and policy documents. PCORnet chugs
9 along and PCORnet had six new studies added in the
10 most recent quarter. And it has, I think something
11 like 140, actually I'll be talking to you about the
12 PCORnet in just a minute as well. And Front Door
13 requests are up a bit in the last quarter, too, just
14 reflecting ongoing interest from a variety of
15 sources in what the PCORnet can produce.

16 So any questions about the overall
17 dashboard before I move on to --

18 CHAIRMAN NORQUIST: Barbara.

19 DR. SELBY: Barbara.

20 DR. McNEIL: I thought this was great.
21 Joe, when I was reading it a thought hit me and
22 maybe we've discussed this before and I just zoned

1 out, but have we ever thought of having something on
2 the dashboard given that we're very anxious to move
3 our studies and our data along, have something on
4 the dashboard that was time for first review of the
5 submitted application and then time to start of an
6 application once it has been approved.

7 The latter would take into account all of
8 the problems that we sometimes get with universities
9 or hospitals and their contracting offices. So
10 basically it will be two parts. The first would be
11 our review and that's under our control. And the
12 second one would be a mixture, part of us and part
13 of the recipient in terms of getting the study
14 actually started.

15 DR. SELBY: That's an excellent suggestion.
16 We have discussed this at times in the past and I
17 think it's a good time to bring it back. Maybe
18 we'll start by just reporting to the Board at a
19 Board meeting on it and seeing if this is something
20 that we want to add to the dashboard.

21 Yes.

22 DR. McNEIL: It relates to the Front Door.

1 What kinds of requests have come in to the Front
2 Door of PCORnet and what has actually happened to
3 them?

4 DR. SELBY: I'm going to show you some
5 details on that in just a minute.

6 Any others?

7 Okay. If there are no others this is just
8 as we always do. We like to show you a few
9 examples, one, at least, that meets with each goal.

10 So in the goal of increasing information
11 for health decision making, this is a very well
12 received update of a systematic review. AHRQ and
13 PCORI collaborate on this. We fund -- through AHRQ
14 we fund an evidence-based practice center to do this
15 work. And this one was on the nonsurgical
16 treatments for urinary incontinence published in the
17 *Annals*. And you'll see that this is a really quite
18 a high Altmetrics score. It says top 10 percent
19 there, but I, gosh, I'm surprised it's not even
20 higher than that. Usually a score over a hundred is
21 in the top five.

22 And it essentially concluded that it

1 compared the effectiveness of a wide range of
2 treatments including pharmacologic and
3 nonpharmacologic interventions, 84 trials were
4 included. And what was found the conclusion, one of
5 them was that behavioral therapy alone or in
6 combination with other interventions is generally
7 more effective than the pharmacologic therapies
8 alone in treating both stress and urgency
9 incontinence.

10 So you see the quote there from the senior
11 author, "A reasonable approach is to start with
12 behavioral modifications. If that's unsuccessful,
13 then move on to medications or procedures. But it's
14 a quality-of-life issue, not a life-threatening
15 problem -- go through all the options and let the
16 patient decide."

17 This is a subsequent paper from the same
18 authors in the *Journal of General Internal Medicine*
19 just this month and it addressed the adverse
20 effects. And it certainly found that behavioral
21 therapies and neuromodulation have low-risk of
22 adverse effects, whereas the many of the medications

1 do have their expected side effects; like dry mouth,
2 fatigue, GI complaints. The botulism toxin
3 injection is associated with urinary tract
4 infections and voiding dysfunction.

5 So they concluded that the choice of which
6 treatment option is best for a particular woman with
7 urinary incontinence will vary depending on her
8 symptoms, the severity of those symptoms for history
9 of prior treatments, treatment goals, preferences
10 and values.

11 So I'm moving on to goal two, which is to
12 speed the uptake and use of information. This is
13 really -- I've been waiting for this for some time,
14 but we finally gotten word that the three studies by
15 Keren about the equivalence or superiority of oral
16 antibiotics compared to intravenous antibiotics
17 delivered by a PICC line in children going home from
18 the hospital after a serious infection.

19 This has now been built into Infectious
20 Diseases Society of America Clinical Practice
21 Guideline and they said there's mounting evidence
22 that oral therapy can be substituted for parenteral

1 therapy without compromising cure rates, safety is
2 enhanced by avoiding parental therapy related
3 complications, where that has been the traditional
4 preferred treatment. So very good news that this
5 has made it into the Clinical Practice Guidelines.

6 And we do have some evidence already that
7 the use of parenteral therapy post-discharge is a
8 declining rather quickly following the publication
9 of these papers.

10 And a third, our third goal is to influence
11 the way research is done and the way it's done
12 elsewhere. These are two publications; Dr. Evelyn
13 Whitlock was provided the leadership for these, but
14 you'll see that one of them involved both PCORI
15 staff, as well as, PCORI Board members -- the first
16 one. And this was a study self-assessing how PCORI
17 follows a set of recommendations for efficient
18 clinical research that were published in the *Lancet*
19 in 2012 through 2014. I think these recommendations
20 actually were around at the time PCORI got started
21 and you can see the echo of a number of them in our
22 Methodology Committee report and standards.

1 But this basically showed that we are doing
2 well in about nine of the -- there are 17 areas, 15
3 applied to PCORI, the kind of research we do. We
4 were doing well in nine of them. And six of them
5 had recommendations for improvements that we could
6 put into place.

7 So a nice example of how a research
8 organization holds itself up to scrutiny. And it
9 actually was patterned after a similar publication
10 from the National Institute of Health Research in
11 the UK, which funds research much like ours in which
12 had self-assessed its practices.

13 The second paper was in *JAMA Network Open*
14 and it compared nine, the nine largest noncommercial
15 funders of research in the U.S. and six of the nine
16 met three key criteria of having publically
17 available policies on clinical trial registration,
18 on posting of summary results, and on individual
19 patient data sharing. PCORI looked very good there.
20 But another nice example of not only talking about
21 how we would do research, but bringing in the idea
22 of influencing the way others do it.

1 Okay. So there's a focus in the dashboard
2 this time on peer review, our peer review process,
3 and on PCORnet.

4 So in the peer review process, and we've
5 gone through this before, there is an initial
6 receipt of a draft final research report. It goes
7 through a period of pre-review wherein the editor
8 and PCORI staff review for completeness and for
9 following the format. And sometimes there's some
10 back and forth. We'll get into this in just a
11 minute. Just to get the report ready to send out
12 for peer review.

13 Then there is a peer review process and
14 this is managed externally and very well. And the
15 peer reviewers provide their comments and the
16 associate editor synthesizes the comments and sends
17 them back to PCORI. And then there is a back and
18 forth. That's the third part, back and forth
19 between PCORI and the author and principle
20 investigator.

21 So this is a very nice picture. It reminds
22 me of a snake wherein the big bolus has passed

1 through. And so, you see now very different than it
2 was a few quarters ago, 182 out of 285 projects are
3 completely through the review process with results
4 posted on PCORI's website. Thirty-one more have
5 been completed and approved and they are in the
6 process of having the reports drafted for the lay
7 and the research audience. And we know that this,
8 by statute, needs to take less than 90 days and
9 we've never had one that didn't make it to posting
10 within less than -- within 90 days or less. So 31
11 more will be added, now within well-under 90 days to
12 the 182. Forty-eight are in peer review, nine out
13 of 48 are through peer review and the final edits
14 processes underway. Nine are currently in peer
15 review and 15 are in the either the pre-review or
16 the investigators are still putting them together.

17 So you'll see that the numbers are starting
18 to go down. We have gone through a bolus where we
19 funded a lot of small studies in the earliest years,
20 as more of our commitments have gone to larger
21 studies, longer studies there are fewer each quarter
22 coming to completion.

1 This just shows where the target is the
2 green line. It's less than six months. We'd like
3 to have the complete, the peer review process
4 completed. You'll see that that doesn't happen all
5 that often, although there are 39, it looks like
6 that in which it did. But you see that there's a
7 long tail, most of them cluster just above six
8 months, but there is a long tail and these are those
9 that just take multiple iterations.

10 This shows that the number that used two or
11 more revisions during pre-review that is the bluish,
12 the light blue has really shrunk. So there's very
13 few times when we have to go back and forth more
14 than once with applicants before we send it off for
15 peer review. And the number in dark -- review --
16 where we can send them directly, they're good enough
17 to send directly to peer review is up now these last
18 four quarters. And this is really due to a lot of
19 work in our communications, a lot of work by our
20 staff communicating with changing the format of the
21 application so that we get what we need off the bat.

22 This is a nice story of us being responsive

1 to the initial submissions of some not-so-ready
2 final reports.

3 This is another part of the story. This is
4 what we called the Cohort View, where the red line
5 shows that if you just take each quarter's projects
6 and see how long they take to get completed, you'll
7 see a steady decline from back in 2016 and now we
8 are down at 7.1 months on average for the most
9 recent cohort, most recent cohort that's made it
10 through the last couple -- that time hasn't elapsed
11 yet. So we hope that they will continue to be brief
12 like the last couple of quarters.

13 This is just a reminder. I've already said
14 this, that we always get the final approved reports
15 posted in abstract form, both lay and clinician, in
16 less than 90 days.

17 And now -- this is very exciting, an
18 increasing number of the reports have been posted in
19 abstract form for long enough that we can now post
20 in final form the entire searchable report. And so,
21 there are something like -- it looks like there are
22 -- thank you -- 60 reports for reports posted and by

1 the end of this year we anticipate we'll have 152
2 final reports posted. It takes less than the 12
3 months.

4 We allow up to 12 months, but we on average
5 get them posted in 10 months and there's a new
6 search function on the website so that you can find
7 these reports more easily and coming soon will be
8 measures of attention to these final reports.

9 This is a very nice publication that Hal
10 Sox who leads this effort, Marina Broitman who works
11 closely with Hal, published as a viewpoint in *JAMA*
12 on our process. So I would really commend it to
13 you. It's -- not many funding organizations go
14 through this process and we do it in a, I think,
15 very outstanding way that actually benefits
16 researchers in getting their papers ready for
17 publication.

18 This just shows that the gold line is a
19 benchmark that is from two publications shown there
20 of how long it takes following the primary
21 completion date of a study to get published results.
22 And you'll see that at 30 months after the primary

1 date we are, PCORI is, and this is published in the
2 scientific literature, we're at about 56 percent,
3 whereas the benchmark is 40. So we are doing a
4 better job in getting PCORI publications out the
5 door within 30 months than the benchmark.

6 If you add in, if you count posting the
7 report on our website as publications, you see that
8 we get to 100 percent by 30 months. That is the
9 final reports are posted within 30 months in 100
10 percent of ours compared to the 40 percent. So we
11 are doing a better job, I think you'd say, in
12 getting PCORI-funded CER results to the attention of
13 the public, both in scientific presentations,
14 scientific journal articles, but also on our
15 website.

16 Any questions, Barbara?

17 DR. McNEIL: That's very interesting Joe.

18 I've been trying to think about this and
19 maybe it's just a reflection of the kinds of studies
20 that I've done. Frequently a study gets done, we
21 have all the results and boom, we send the
22 manuscript off of publication to *JAMA* or the *New*

1 *England Journal* or what ever, and it gets published
2 pretty fast. It's accepted for publication pretty
3 fast. So have you ever been in a situation where an
4 investigator finishes the study, sent out a
5 manuscript, has it accepted for publication, and you
6 still haven't finished your review?

7 DR. SELBY: Yes, oftentimes.

8 DR. McNEIL: So why would you bother
9 continuing review when it's already been accepted by
10 a publication?

11 DR. SELBY: I think mainly because the
12 final report is broader and more comprehensive than
13 any publication. Publication typically doesn't
14 present the entire -- it doesn't respond to all the
15 aims that were in a study and the final report does.

16 DR. McNEIL: Can I just follow up on that a
17 little bit? So if the final report is on the
18 website, there is some journals -- and Hal would
19 know this better, there was some journals that will
20 not publish an article if the information is already
21 publicly available.

22 DR. SELBY: Yes.

1 DR. McNEIL: So how does that work?

2 DR. SELBY: So we've worked through that
3 with multiple journals and I'm going to just ask Hal
4 if he would mind speaking to it, my impression is
5 that has not been a problem, but Hal is in a better
6 position to speak.

7 DR. SOX: Well, we want our PIs to publish
8 in journals and so we don't -- we ask them for
9 permission basically to post the final research
10 report on our website after, you know, basically we
11 follow their lead, with the exception that if it
12 goes 12 months and they haven't published their
13 results, then unless it's under review, we're pretty
14 much obligated to do so.

15 DR. McNEIL: That makes sense. Thanks.

16 DR. SELBY: Okay, if there are no other
17 questions, we'll move on to the update on PCORnet.

18 So to speak in two areas. One is data
19 improvements and one is new projects and prospects
20 for new research.

21 So this is just -- I asked them to get me a
22 recent update on their entire membership that they

1 could track. So this is from the perspective of the
2 nine clinical research networks and you'll see that
3 there are -- so this is 43 DataMarts from the nine
4 clinical CRNs, and it's for the period from mid-2017
5 through mid-2018. About 31 million persons had at
6 least one entry into the electronic health record of
7 these sites. Then very nice distribution by race,
8 ethnicity -- and race and ethnicity is shown there.
9 And pretty complete data on that, as well.

10 This is one of the nice things about an
11 electronic health record. The female-to-male ratio
12 is not surprising in that this is a database built
13 on people who use services and women use more at
14 many points in life than men.

15 So now this is the perspective. I'll also
16 say that this is somewhat fewer than I've shown you
17 in the past because PCORnet is somewhat trimmed.
18 There are now nine clinical research networks in
19 PCORnet, and this is what they generated in one
20 year.

21 This is from the perspective of the health
22 plans. And two health plans are part of PCORnet and

1 they together cover the lives of 24 million persons.
2 Now there is a lot of overlap. We don't know quite
3 how much yet and that's what a proposal later on
4 today is about, between what's in those electronic
5 health records and these two health plans. So some
6 of those people have these two types of insurance.

7 And again you'll see here that the balance,
8 male-to-female, is somewhat better and somewhat more
9 closer to 50/50, and they have a lot of missing data
10 just to say that health plans do not tend to have
11 race and ethnicity data. So that's just another
12 reason that makes linkage important and a lot of
13 people with any of these conditions, I'm not going
14 to go into this much. That's the health plans are -
15 -- the first one was the CRNs and the second one is
16 the health plans.

17 It's kind of interesting to see that
18 sometimes the health plans generate more people than
19 the electronic health records and that could bear
20 some exploration. So, for example, anxiety in the
21 CRNs there were 2.6 million. And in the health
22 plans who have less people over all, there were 3.5

1 million. So I think that says that the health plan
2 becomes aware of these diagnoses of anxiety more
3 often than they show up in the electronic health
4 record.

5 This is just the Coordinating Committee and
6 all of the CRNs continue to work at standardizing
7 their data. And this is for lab tests. It just
8 shows you that they have now standardize the data
9 across the nine CRNs for 206 lab tests, 206 lab
10 tests begins to get into some pretty esoteric lab
11 tests. So even when you had, you know, a much
12 smaller number, you had the bulk, the vast bulk of
13 all the lab tests, but they continue characterizing
14 the less frequent ones and that just makes them more
15 able to do particular types of research.

16 This is an example of two tests that have
17 been widely available since 2016. That is Serum
18 Creatinine and the Hemoglobin A1c because those are
19 two the most studied the lab results and diabetes
20 particularly is a commonly studied disease in these
21 kinds of data.

22 Another one, the Serum White Blood Cell

1 count has just gotten up above 90 percent, and the
2 estimated glomerular filtration rate, the purple
3 line is now -- I'm sorry, the blue line is not quite
4 at 90 percent yet, but great progress in capturing
5 that one, which is very valuable for renal failure
6 and cardiovascular disease research.

7 And this is an example from prescriptions.
8 Now these are not prescriptions filled. These are
9 prescriptions entered into the electronic health
10 record. And the question here was for a biosimilar,
11 how often can you distinguish whether it was the
12 actual branded drug or the biosimilar and we've
13 improved -- and that would be very important if,
14 for example, you wanted to do a comparative
15 effectiveness research study on a biosimilar, you'd
16 have to be able to distinguish who got which. Not
17 all EHRs are good at doing that. But you'll see
18 that we have improved from 50 to about 76 percent of
19 the instances where a patient got the drug. You
20 could distinguish whether it was the biosimilar or
21 the original branded agent.

22 And this is latency. One of the reasons

1 that the data only goes through mid-2018 in what I
2 showed you overall in table one. But they the sites
3 and the DataMarts continue working on shortening
4 that time and is now down to just about three months
5 from the time that data are entered into the
6 electronic health record until they're reliably into
7 the common data model and available.

8 This just shows that in the last quarter we
9 had six new studies in PCORnet and they were all
10 externally-funded studies. So the green, or the
11 externally-funded, the orange are co-funded and
12 those were mostly the PaCR awards from about a year
13 ago, and in the blue are PCORI-funded.

14 This is Front Door activity. And the Front
15 Door mostly happens through the coordinating center.
16 And you'll see that there were 29, 22 queries of the
17 Front Door in the most recent quarter. They come
18 from funding agencies. They come from -- and
19 organizations, companies. They also come from
20 individuals who may be thinking about submitting an
21 application. So a lot of them are just early
22 queries, but this shows the proportion, that it

1 hasn't changed dramatically over time. Industry
2 maybe has gotten a little bit larger in terms of the
3 proportion. I should say that these pies kind of
4 hide the fact that the numbers are increasing year
5 on year. But the blue is PCORI and the green is
6 federal inquiries from federal funders. And then
7 the red is not specified, not indicated. And those
8 may be preliminary queries from -- particularly from
9 individual investigators.

10 Now I'm going to show you updates on three
11 clinical trials that have been conducted or are
12 being conducted in PCORI and I say clinical trials,
13 but one of them, the second one is not a clinical
14 trial. This is a clinical trial an NHLBI-funded
15 clinical trial of using increased -- a double dose
16 of flu vaccine in persons with congestive heart
17 failure to see if that confers added protection.

18 There are four participating organizations.
19 A network in Canada, a non-VA U.S. network, a VA
20 U.S. network, and PCORnet. And the point here is
21 that PCORI uses -- PCORnet uses electronic
22 identification methods and cross-network

1 collaboration to enroll patients. PCORnet has had
2 the fewest sites, 25 sites in the third year were
3 PCORnet sites, but delivered the most patients per
4 site and in year three was tied for the highest
5 enrollment rate. So probably because of its
6 streamlined electronic methods PCORnet is somewhat
7 more efficient than the other collaborators in this
8 multi-institutional study. There's going to be one
9 more year of enrollment this fall. And my
10 understanding is that trial will be concluded at
11 that point.

12 This is the large cohort study of patients
13 with congestive heart failure, with systolic heart
14 failure, some of whom are given a branded agent, a
15 new agent for treating heart failure and others who
16 get the more traditional therapies for heart
17 failure. And it's a study, a cohort study of 400
18 patients with chronic heart failure and it's
19 entirely focused on patient reported outcomes of
20 physical limitations, symptoms, self efficacy,
21 social interference, and quality of life.

22 Again, the electronic recruitment

1 techniques were used, that a lot was learned from
2 ADAPTABLE in launching this study. And this study
3 actually completed enrollment ahead of schedule, 400
4 chronic heart failure patients. And the final study
5 query for this will be done in late summer of 2019.

6 And this is ADAPTABLE and I'm very happy to
7 tell you that ADAPTABLE is now at about 14,300
8 enrolled patients out of a target population of
9 15,000. So they are preparing for a massive
10 celebration in June.

11 Just to remind you, the patients are
12 enrolled electronically, most of them, a few in the
13 clinic, but about 90 percent are enrolled
14 electronically using email and the Portal. Follow-
15 up as either by telephone or via the Portal for
16 patient reported outcomes, medication use, and
17 health outcomes. And follow-up is enhanced then by
18 linkage to CMS and to commercial payers. So a lot
19 of linkage goes on in this study.

20 And so, I think the results are due out
21 either toward the end of 2020 or early 2021.

22 And this is a study that's not funded.

1 This was an application that really put a lot of
2 pressure on PCORnet to work together. Seven, all-
3 eligible PCORnet networks. That is seven. One is a
4 pediatrics network and that's not eligible and the
5 other is an FQHC network that did not have enough
6 elderly patients for this study of the effectiveness
7 of using lipid lowering agents in persons, I think
8 it's persons over 75, if I'm not mistaken.

9 So this would be a very large study. The
10 submission is in, this is funded by multiple
11 institutes at the NIH, and the review takes place
12 this month. So we will know soon how they did.

13 So just back to the end of the presentation
14 to ask if there are any other questions about any
15 aspects of this report in the dashboard.

16 CHAIRMAN NORQUIST: Chris.

17 DR. SELBY: Okay, Chris.

18 DR. FRIESE: Thanks Joe. This is really
19 nice, a lot of great stuff here. One question I had
20 -- it was sort of triggered from the PCORnet
21 conversation, but going back a little bit, is the
22 very nice uptake of the Pediatric Antibiotic Study.

1 And so, we now see that IDSA has adopted that. I'm
2 wondering if we can go a little farther to say or
3 examine whether health plans have now integrated
4 that into their coverage decisions for those kids,
5 so that we have actual policy change from the
6 research. That's question one. Then I'll ask
7 question two.

8 DR. SELBY: It's an excellent question.
9 And, you know, we do stay in touch with the
10 principal investigator from that study and we've
11 talked about actually conceivably through PCORnet
12 monitoring the trends over time and the actual
13 performance. I'm not sure. I wouldn't know whether
14 this was a good one to actually institute a coverage
15 policy on or not. I don't know -- I mean, given
16 that it's so clinical and if clinicians, it may be
17 the kind of thing we're clinicians seeing this
18 evidence spontaneously convert and you don't need to
19 do it and you don't need to then make it difficult
20 when there is the rare patient where for whatever
21 reason you really do feel you should use IV line.
22 So we'll see. We'll put that into the mix of

1 questions we asked the investigator.

2 Your second question?

3 DR. FRIESE: Yeah. And I just think --

4 DR. NORQUIST: Wait, a minute [off
5 microphone].

6 DR. SELBY: Oh yes.

7 DR. LEVINE: Yes, a pediatrician reaction
8 to your question. I think, it's an important
9 question as to the degree of uptake into clinical
10 practice of the guidelines. I second Joe's comment
11 that this would be a very problematic issue or
12 problematic way of approaching coverage decisions
13 because there's too much clinical nuance with little
14 kids and it would be very difficult to try and
15 implement this as a coverage policy.

16 DR. FRIESE: I guess the broader point is
17 downstream uptake, after the guideline is probably
18 the better way to ask that question.

19 The second point I wanted to make was about
20 the peer review data that you showed and the fact
21 that we haven't been able to really hit that six
22 month target. And what I didn't see in the

1 presentation, maybe we can dive a little deeper at
2 some point is, I saw a variety of potential areas
3 where that process is delayed both from the
4 investigator providing information in the review
5 process. Do we have a really good sense of where
6 the lag in the time occurs within that whole
7 process? That might be an area for QI work or other
8 efforts?

9 DR. SELBY: We have looked at it. I'm not
10 recalling exactly, my recollection is that, you
11 know, it falls somewhat more on the investigator.

12 In other words, sending things around in
13 the investigator's office than here, but we can get
14 back to you on that.

15 Sharon did you still -- should we -- I'm
16 sorry, Alicia.

17 DR. FERNANDEZ: So great presentation Joe
18 and so wonderful to see PCORnet bearing fruit on the
19 interventional studies. And that's really, I think,
20 where we're actually seeing a ton of movement and I
21 think we should feel very good about that. And
22 important research questions like the flu one.

1 I want to come back to the slide on the
2 observational stuff, slide number 33, and ask us or
3 you if -- and we may need to just come back to this.

4 DR. SELBY: This one?

5 DR. FERNANDEZ: No, 33 on my slide deck.

6 DR. SELBY: Thirty-three.

7 DR. FERNANDEZ: That one. Sorry. Oops.

8 Did I do that?

9 DR. SELBY: No, no. Yeah, you did it.

10 DR. FERNANDEZ: But the next one, because
11 these are CRNs, and CRNs are by definition selective
12 population groups. But the next slide has the data
13 on the health plans. It's unusual for breast cancer
14 to be concentrated in the pediatric group and to
15 have 40 percent in men, and it would be unusual for
16 asthma to be concentrated among adults and be 99
17 percent among women.

18 So there is -- I think what I'm trying to
19 say is that these sorts of results indicate that we
20 still have a ton of work to do, so that they can be
21 so that PCORnet can be used and I'm not even going
22 to touch the race stuff, because you know it's

1 federally mandated.

2 But it just shows that we have a lot of
3 work to do to be able to get results that are
4 somewhat useful for observational studies, which of
5 course is of such importance, both in terms of all
6 of the things that we want to do. So for all the
7 things that we want to do that are public health
8 related.

9 DR. SELBY: Thankfully.

10 DR. FERNANDEZ: So all I'm saying is I love
11 where we are with PCORnet. Love it, love the
12 interventional stuff. We should all feel really
13 happy with that and continue to support that. But
14 we do need to do a little bit more to support the
15 quality of the data. And I'm wondering where are,
16 where we are with that.

17 DR. SELBY: Okay. Who's next? Janet and
18 Barbara.

19 DR. NORQUIST: Let me -- at some point --
20 [off microphone].

21 DR. FERNANDEZ: Where are we with the in
22 terms of -- or does that come later in the meeting?

1 DR. SELBY: I think I just owe you a report
2 back on this.

3 DR. NORQUIST: Okay.

4 DR. SELBY: Yeah.

5 DR. FERNANDEZ: That's fantastic.

6 DR. NORQUIST: Okay. So a little bit more
7 detail. Actually Barbara and then Ellen.

8 DR. McNEIL: So I just had one quick
9 question. I'd be interested to know Joe and what
10 happens in PCORnet to the Front Door inquiries from
11 a couple of years ago. Did they actually lead to
12 data? Do they actually lead to grant submissions
13 from somebody to someplace because somebody must
14 have those data?

15 DR. SELBY: That's a good question. I can
16 tell you that. I think most of them, without a
17 doubt, most of them have not led to projects. Most
18 of them are queries, you know, they're preliminary
19 -- they're inquiries about whether PCORnet could be
20 a good place to do this, for example. So I think
21 that will probably always be the case.

22 DR. McNEIL: So, but just following up on

1 that, some of those came I thought from fiscal year
2 '16 or '17.

3 DR. SELBY: Yes.

4 DR. McNEIL: So it would be, I would think
5 it would be unusual to have six or eight Front Door
6 inquiries say three or four years ago, and then to
7 find out that PCORnet was not an appropriate vehicle
8 for doing those studies. Is that what you're
9 saying?

10 DR. SELBY: No, not necessarily. I mean,
11 it could be that the query either suggested that it
12 wasn't a problem, you know, that there wasn't enough
13 use that the suspected exposure just wasn't
14 happening. You know, for example, PCSK9s, one might
15 want to know if PCSK9 could be studied in PCORnet.
16 The answer is no because it's not -- it has not
17 really taken off in terms of use.

18 Okay. Who's next?

19 DR. SIGAL: Joe, I had a question on the
20 lab tests and something on biosimilars and I'm happy
21 that you were doing some concordance on them because
22 it's an area of great concern and differentiation.

1 Can you explain exactly what you're doing? What --
2 how -- what's the sample size is and how you're
3 making sure they are coordinate? And I have follow
4 up on that.

5 DR. SELBY: No, I can't personally. This
6 was something from the PCORnet dashboard that they
7 use to tell us about EHR prescriptions, which is a
8 suspect area. Prescriptions from an electronic
9 health record are -- have not been widely studied
10 yet. And so, I can't -- I know that there was an
11 inquiry about this, so probably had to do with
12 "could you do a study?"

13 DR. SIGAL: Yeah. But this huge
14 variability and it would be nice to understand. The
15 other thing is you mentioned biosimilars on the EHR.
16 Were you talking about biosimilars or generics or
17 just prescriptions?

18 DR. SELBY: No, this was a specific
19 biosimilar.

20 DR. SIGAL: Yeah, it would be interesting,
21 one of these days to do some comparison testing on
22 that, but that's another whole issue.

1 DR. NORQUIST: Okay, Bob.

2 DR. ZWOLAK: Thank you Joe. Overall, this
3 was a most reassuring report and it's wonderful to
4 see things working out so well. I have a question
5 and a comment. That the questions on slide 35
6 regarding the completeness of the lab tests and we
7 seem to be stuck in the mid-90s for several of these
8 tests and since there are only 47 DataMarts, that
9 means two or three of them are noncompliant.

10 Is there ever any hope of capturing those
11 last few or are there barriers that we should know
12 about?

13 And the brief comment back to Alicia, is on
14 page 33, I believe the columns -- I believe the
15 columns of breast cancer and asthma are just
16 flipped.

17 DR. FERNANDEZ: I agree. I was just, I was
18 just letting Joe now. It's as if they were flipped
19 and it's actually okay.

20 DR. SELBY: Would you like to elaborate on
21 that? Like five more minutes of you know,
22 explaining that. Thanks. That's helpful. And I

1 think you're speaking now to Alicia's question about
2 the quality of the data. This is something that
3 when PCORnet talks about governance, one of the
4 issues it's got to talk about is what are we showing
5 to each other and what do we do about sites that
6 over quarters, over a year or more, just don't get
7 it together. And you know, I think that's ongoing
8 discussions between PCORnet and PCRf and PCORI right
9 now.

10 DR. NORQUIST: Okay. Janet.

11 DR. WOODCOCK: I want to follow up on
12 Chris's comment. I think it's really important to
13 follow this research all the way to practice and see
14 how from what extent it's implemented. So the
15 antibiotics, you could use something like claims
16 data to figure out the degree of conformant over
17 time and you wouldn't need to necessarily look at
18 the insurance companies.

19 They could go through Reagan-Udall IMEDS
20 program or something like that and not use the
21 sentinel data to see whether there's any shift in
22 practice. We do that after on a box warning or

1 something to see if people start conforming. I
2 think for all PCORI studies it's really important,
3 not just if they're published or whatever, but to
4 see what impact and be able to demonstrate what
5 impact they've had. And I think doable in many
6 settings.

7 DR. SELBY: Thanks Janet.

8 Okay, good. So thank you all. We're going
9 to go now to the report on our portfolio analysis,
10 unless there's any other suggestions for changes to
11 the dashboard or new information on the dashboard?

12 DR. NORQUIST: And I think if there are
13 others that come up, certainly email them to Joe or
14 let him know it doesn't happen today. It doesn't
15 have to be the final say on it.

16 DR. SELBY: Okay. I'm going to try and be
17 very brief and, and turn to Penny for the specific
18 discussion of the telehealth portfolio. But this is
19 just a very brief overview of our portfolio in
20 general. It may occasion you to think about what
21 else you'd like to know. We can take -- we're going
22 to do the in-depth look at telehealth and then we're

1 going to ask you again about other topic portfolios,
2 we've already gotten two or three of those.

3 And so a brief reminder, this is the number
4 of broad, pragmatic, and targeted PFAs. We've
5 issued a number of studies that we've funded and the
6 amounts of money that we've distributed. A little
7 less than half is in the broad, a little more than
8 half is in the larger studies, the pragmatics and
9 targeted. And by the number of studies and by the
10 amounts funded, this just shows you the topics.
11 High burden conditions predominate, you know, that's
12 true in both the broads and the targeteds.

13 This is populations of interest, just to
14 show you that an awful lot of our investment in our
15 projects do focus on one or more priority
16 populations such as racial and ethnic minorities or
17 low-income and it makes a lot of sense since that's
18 where poor outcomes tend to cluster.

19 Over a half of PCORI studies are led by a
20 principal investigator with a medical degree. So
21 that -- this is in contrast to NIH, which funds a
22 lot. The majority of its research does go to PhD

1 researchers. Not surprisingly, since we are purely
2 clinical research and real world clinical research,
3 that the majority of our investigators are
4 clinicians and internists followed by surgeons,
5 pediatricians, Hem/Onc and psychiatry are the most
6 frequently funded PIs.

7 This always surprises me a little bit that
8 fully 76 percent of our studies are randomized
9 trials. I think I would have guessed it would have
10 been closer to 50-50, but it's a combination of what
11 people submit and what the reviews sections like and
12 then a very, very small amount of quasi-experimental
13 research.

14 And just to show that out of I think 452
15 studies, 365 have health status and well-being as
16 well as the outcomes. And also that we measure
17 clinical outcomes in just about as many as we
18 measure any patient reported outcome. But we do
19 have, and are known for, having a lot of patient
20 reported outcomes in our studies.

21 This is an interesting slide that simply
22 shows arrays common clinical conditions by their

1 national per capita expenditures and stroke is at
2 the top and autism it looks like it's at the bottom,
3 but it's a function of both prevalence in costs per
4 patient. And we have funded more than 260 studies
5 in these areas. I think there are 19 here. Yeah.

6 And the only thing that jumps out at me
7 here is that it seems a little bit surprising that
8 there's only one osteoporosis study. And I knew I
9 would get a reaction from Sharon there. And really
10 only two in autism is also surprising.

11 So just, you know, there's sometimes other
12 explanations but it's worth keeping an eye on this.

13 Yes. Janet.

14 DR. WOODCOCK: What about substance abuse?
15 Is it across --

16 DR. SELBY: I guess that it's not, it
17 wasn't counted as a condition in the same way. I
18 mean --

19 DR. WOODCOCK: Because it underlies, it
20 cuts across so many.

21 DR. SELBY: Yeah. I noticed that obesity
22 is not on here either. And so, this must be a very

1 narrow definition of the word clinical.

2 MR. BECKER: Joe, these are the ones we
3 funded. Is there a companion list of the numbers of
4 submitted?

5 DR. SELBY: No. You know, our data
6 systems are just beginning to catch up. We have
7 great data now I can say on the numbers of -- on all
8 of our funded studies, we are just now getting the
9 application data cleaned up so that we can do,
10 there's any number of interesting questions there.
11 Is it because they didn't get submitted or is it
12 because they got submitted, didn't get funded? So
13 we will have that in the not too distant future.

14 In fact, this slide just speaks to the --
15 it says, in fact, just that we're going to have
16 growing information on applications.

17 Sharon.

18 DR. LEVINE: Yeah. The other question, I
19 had was maternal mortality.

20 DR. SELBY: Maternal mortality. As I was
21 looking at this list yesterday or the earlier --
22 last week, I think -- I can't remember what it was,

1 but I noticed one, too. So it's a funny list in
2 terms of costs, you'd think that maternal -- I mean
3 that may not -- I mean that may not rise to the
4 level of one of the top 19 in terms of costs.

5 DR. LEVINE: Well pregnancy certainly is.

6 DR. SELBY: Yeah. Yeah.

7 UNIDENTIFIED SPEAKER: Could we get a copy
8 of the study that generated --

9 DR. SELBY: That generated that --

10 UNIDENTIFIED SPEAKER: That was used to
11 generate the list?

12 DR. SELBY: I'm sure we can.

13 UNIDENTIFIED SPEAKER: That would be
14 helpful.

15 DR. SELBY: Yeah. Okay. So I am going to
16 just turn this over now to Penny Mohr and here comes
17 Penny.

18 Penny seems to have her arms around any
19 number of portfolios. She is also known as one of
20 the three project officers or scientists at PCORI
21 who knows a ton about opioids, but telehealth is
22 Penny's and she's got a very interesting

1 presentation that I think a lot of you will be --
2 have comments on. And this is, as I said, the first
3 of these types of presentations and we have a good
4 time for it.

5 MR. MOHR: Okay. We're ready to go?

6 UNIDENTIFIED SPEAKER: Yeah, go ahead
7 Penny, thank you.

8 MR. MOHR: Fantastic. I'm really glad to
9 be the inaugural presenter today for a little slice
10 look at our portfolio. I think telehealth is a
11 really good area to start in because you'll see we
12 have a very healthy investment in this particular
13 area. So I'm going to give you a little bit of
14 background about the environment in which a lot of
15 these studies are taking place.

16 As you know, there's just been a rapid
17 change in health delivery and telehealth is part of
18 that story.

19 And then I want to get into a bit more
20 detail about our telehealth portfolio, highlighting
21 a couple of the studies. And I also want to talk a
22 little bit about some of the initiatives that we

1 have ongoing, which I think are pretty exciting
2 initiatives to try to better understand this
3 portfolio and put it into context. And then I'll
4 end with some potential remaining gaps, because I
5 think this was something that several SOC members
6 had specifically asked us to look at.

7 So right when I began, I want to make sure
8 that we are all on the same page, because when I say
9 telehealth a lot of my colleagues may think of
10 something very differently than I think of. There's
11 a lot of definitions. In fact, there was an article
12 published not too long ago that showed that there
13 are 104 different definitions for telehealth.

14 So when we first started out looking at our
15 portfolio, we had to circumscribe what was in and
16 what was out. And the approach that we took was to
17 use a fairly broad definition of telehealth. So we
18 require that if information is exchanged from one
19 site to another. So specifically, we are not
20 looking at the use of web portals within a
21 clinician's office. We also require that it be
22 electronic communication. So voice only

1 interactions are excluded. Again, this is just the
2 way we're circling this portfolio.

3 We also thought it would be very important
4 to define a couple of other areas which are commonly
5 thought of when you think about telehealth,
6 specifically telemedicine, which I think a lot of
7 people is more the traditional way they think of
8 telehealth. This is really the two-way, real-time
9 interactive communication with the provider and
10 their patient to evaluate, diagnose, or treat a
11 condition.

12 To this definition we've also added
13 asynchronous communication, which specifically is
14 like in teledermatology where you take your images
15 and you upload them to the web and your
16 dermatologist looks at them at a later date and
17 communicates at a later date. It's not real-time.

18 We also thought it was important to talk
19 about mHealth, because again, this is an area that a
20 lot of times people think of as part of telehealth.

21 Now for mHealth we had a very broad
22 definition. It's just the use of mobile or wireless

1 devices to improve health outcomes or health care
2 services. And one thing that's a little bit
3 different about this definition from the broader
4 definition of telehealth, is that we actually allow
5 for you unidirectional communication in the mHealth.
6 So for example, a lot of text messaging that goes to
7 support behavioral change. That's something that we
8 have included when we circumscribed this portfolio.

9 So I did ask myself, why are we attracting
10 so many studies in this particular area? And I,
11 from my perspective, I think there are three major
12 reasons why we have so many -- funded so much work
13 in this area. And two of them are reflected on the
14 slide here in green.

15 The first is that I think a lot of the
16 research gaps that we have seen in the area of
17 telehealth reflect a lot of PCORI's mission.
18 And I'll get back to that in a little bit. The
19 second is I think that telehealth affords patient-
20 centered care. Specifically, it can provide
21 delivery of care when and where it's needed for a
22 patient in the comfort of their home.

1 And also there's personalization of the
2 interface. So there's a potential to address the
3 issues related to low health literacy or issues
4 related to cultural preferences. You can
5 potentially tailor the interface and address some of
6 the barriers to care.

7 With respect to -- going back to some of
8 these research gaps, we see there's a lot of
9 literature in this area, but there is still a lot of
10 poor quality literature. There's a need for more
11 robust comparative studies, specifically head-to-
12 head comparisons of functionality and integration.
13 And also, a lot of the research that's out there has
14 focused on acceptability to patients. I think this
15 was raised by somebody earlier. You know, it's
16 pretty soft outcomes or outcomes looking at a
17 feasibility of the technology and not really focused
18 on those outcomes that are important to the
19 patients.

20 The other thing is that a lot of telehealth
21 studies have tended to be concentrated in the
22 technologically literate population. So these tend

1 to be the whiter population, the younger population,
2 the population who was very facile at the use of
3 technology. And there's a need for understanding
4 how it can be used to potentially reduce barriers to
5 care for more diverse populations and in also more
6 diverse settings.

7 And finally, a lot of the systematic
8 reviews that we looked at have said that there is
9 disconnect between the technologies that are being
10 studied and what is actually acceptable to the
11 patients or acceptable to the clinicians. And so,
12 what you find is there's a lack of integration into
13 the workflow. Patients stopped using it. And so
14 there's a need to engage patients and clinicians and
15 other end-users early on in the development of the
16 technology. And this has been stated quite
17 frequently. And this is engagement. This is what
18 PCORI is all about.

19 The other reason why I think that
20 telehealth has also -- we have so many studies in
21 this area, is just the environment. The delivery
22 system is changing rapidly and there's a lot of

1 uptake of telehealth. And one of -- the parity laws
2 is one example of this. So parity laws, basically
3 states require commercial insurers to pay for
4 telehealth the same as they would for an in-person
5 visit. The definitions vary by state-by-state, but
6 here shows what it was like in 2000. And when we
7 look at 2018, we actually see a pretty dramatic
8 change where there's only 10 states now, as of last
9 year, where two parity laws were not in place or
10 were not under current content consideration.

11 So parity laws did result in a major
12 increase in the use of telehealth within commercial
13 insurance among other things. And you can see here
14 the growth in the use of outpatient telehealth
15 service based on a look at a commercial health
16 database in the line in blue between 2010 and 2015
17 over this five year period versus those in non-
18 parity states in the orange line. And there was
19 basically a four-fold increase in outpatient
20 telehealth services over this five year period.

21 Now we, this is just commercial insurance.
22 We also see major growth occurring in public

1 insurance, and of course, within the VA. I have to
2 say though, although we see these dramatic changes
3 in growth, this over a very small space, a modest
4 space. And so, we still have a lot of people who
5 are not using telehealth. It hasn't had that great
6 of a penetration yet.

7 The other thing that is really changing of
8 the uptake of telehealth is trends in coverage. And
9 specifically last year Medicare really made some
10 major changes in what they will pay for now for
11 telehealth. Under the Medicare Advantage plans, it
12 allows a more flexibility in coverage for telehealth
13 and also within their physician fee schedule they've
14 created something called a Brief Virtual Visit,
15 which really wasn't reimbursed before and expands
16 the coverage for addiction treatment. Just among a
17 few things to mention.

18 State Medicaid programs have also been
19 expanding coverage. And recently some states have
20 moved towards eliminating what we call originating
21 site restrictions, which basically require that
22 patients be in a clinic to connect with a distant

1 provider and now they can receive services in the
2 home in those states and get reimbursed for that.

3 Now I want to dive into our portfolio.
4 There's a lot here and I apologize if I -- you know,
5 I'm going to go through this fairly quickly, but as
6 I said before, we have invested a lot in this area,
7 over \$350 million supporting over 80 projects. And
8 back to the definitions that I said earlier on, the
9 bulk of what we've invested in is in mHealth.

10 It should be noted though that a lot of the
11 studies that we have invested in actually use
12 multiple modalities. So for example, it could be
13 using telemedicine but have developed an app that
14 supports the patient in-between visits.

15 So looking at when we are expecting
16 research results, right now we are -- about half of
17 our studies have ended their research period, which
18 means they've completed the research. That doesn't
19 mean that we have the full final research report.
20 And there are actually 14 studies at this point that
21 we have the full final research report that's
22 published on our website or that the final seminal

1 results have been published in the peer reviewed
2 literature. So we're just starting to learn about
3 what are the findings from this portfolio.

4 What I can say is it's mixed at this point.
5 So we have a handful of studies that show very
6 positive findings. So for example, we have a study
7 in teledermatology that was done looking at the use
8 of collaborative care, linking primary care
9 physicians with dermatologists and the patients in
10 their home. And what that study found was improved,
11 both clinical outcomes, improved quality of life,
12 and markedly improved access to care. So this is a
13 fairly important study for dermatology.

14 By contrast, we have another study that was
15 looking specifically at the use of telehealth in
16 peripheral artery disease. And in that particular
17 study they used a wearable device and provided
18 consultation for home, walking exercises. And what
19 they found in that study was telehealth was not as
20 effective. And in fact, we actually found an
21 increase in pain among that population that was
22 using the telehealth.

1 Then there's a handful of studies that are
2 what we call mixed, as well, with the findings. So
3 we have some studies, for example, in HIV where they
4 were providing access to an iPod that would provide
5 information for helping patients manage their
6 disease and provide better education about their
7 disease and hopefully improve adherence to
8 medication. And in that particular study we found
9 the medication did not improve, but patients were
10 much more involved and engaged in their care.

11 Back to the slide that Joe presented
12 earlier in terms of by study design, we see that
13 over 96 percent, well 96 percent of our studies in
14 telehealth are randomized controlled studies, which
15 is higher than the portfolio overall.

16 And most of our studies are moderate in
17 size, but a very important aspect of this portfolio
18 is that there are a significant number that are
19 large. So we have over a third of our portfolio
20 that have been enrolled that are enrolling over a
21 thousand patients, which is pretty significant given
22 that a lot of the literature has been focused on

1 single site studies and also that there's been that
2 a lot of people have said it's very important to
3 understand how this can be rolled out and be more
4 generalizable across different settings, across
5 different states with different reimbursement
6 barriers and the challenges there, as well as the
7 different population in the heterogeneity of uptake
8 and also a impact in different populations.

9 This is one example of a very large study
10 that we funded recently. This is a response to our
11 targeted funding announcement in palliative care and
12 this study is being conducted in over 1,200 patients
13 and enrolling over 900 caregivers in 20 institutions
14 across 17 states. It's being led by Jennifer Temel
15 and specifically it's comparing the effectiveness of
16 early integration of palliative care delivered by
17 telemedicine versus in-person visits. This
18 basically is addressing a shortage of palliative
19 care providers as well as a shortage of space for
20 providing palliative care and allows access to
21 palliative care services in remote areas.

22 Oh, I did want to say one more thing about

1 this study. One of the important things, again,
2 because it's very large, is that it is allowing now
3 to be able to look at differences both in terms of
4 the technological expertise of the people that are
5 being enrolled, the health literacy. Also looking
6 specifically at differences in terms of access to
7 caregivers. So this is going to be a very important
8 study.

9 Looking at the portfolio by the number of
10 -- by types of conditions that are being addressed,
11 the main point that I wanted to say here is that
12 it's very diverse. It spans a lot of different
13 diseases and conditions. Now we do have a
14 concentration in those areas where telehealth is
15 commonly used, specifically looking at telemental
16 health and also in the use of mHealth for managing
17 diabetes or congestive heart failure.

18 But an interesting thing about this
19 portfolio and when we looked at the systematic
20 reviews that some of the specific disease areas that
21 have been called out as major gaps in evidence for
22 the use of this technology; cancer, rare diseases,

1 and also reproductive and perinatal health. We have
2 some studies in those areas. And one way to look at
3 telehealth portfolio is by its purpose. And I think
4 the main point here is really to say that, again,
5 consistent with the fact that we've funded a lot of
6 studies in the area of mHealth is that a lot of the
7 focus of our studies is on promoting self-efficacy
8 and knowledge. And a smaller number of our studies
9 are looking at improving access to primary and
10 specialty care, which is more traditional thinking
11 of how -- what telehealth is used for and a much
12 smaller number in remote monitoring.

13 Another thing that I think is very unique
14 about our portfolio, which I think also is
15 reflective of our portfolio overall, not just the
16 telehealth portfolio is a large number of our
17 studies in this area, almost half target the
18 underserved population. So we have some really
19 interesting studies that are looking at management
20 of chronic kidney disease in the Zuni Indian
21 population, linking patients in very remote rural
22 areas in Alaska to audiologists for hearing

1 screening and exams among the Alaska Native
2 population. And also we have studies that are
3 looking specifically at cultural tailoring for the
4 African Americans in underserved populations in the
5 South for self-management of diabetes and that
6 specifically is comparing the use of mHealth
7 application of text messaging, culturally-tailored
8 text messaging to the use of community health
9 coaching.

10 A lot of people ask what kind of modalities
11 are covered. And again, this reflects that a lot of
12 our studies are focusing on mobile phones or
13 tablets, but again, a lot of our studies really do
14 incorporate multiple modalities. So although web
15 portals is the major focus, those are web portals
16 that can be accessed through a variety of different
17 devices.

18 Yes.

19 DR. SIGAL: Just a clarification. You just
20 brought it up. So when you're talking telehealth
21 you're talking about telephone, right?

22 MS. MOHR: So telephone voice-only

1 interactions have not been circumscribed within this
2 portfolio in what I'm talking about now. We do have
3 studies in that area, but they're not, well,
4 included in our telehealth portfolio.

5 DR. SIGAL: Okay. So more mobile, because
6 I was trying to figure out, you can never get anyone
7 anymore.

8 MS. MOHR: Yeah. You know, this is through
9 apps and mobile phones. Yes.

10 DR. SIGAL: Okay. But then you get into
11 the caregiver and the ability for people that are
12 not so good at these devices --

13 MS. MOHR: Exactly. Yes, exactly. That's
14 right.

15 DR. SIGAL: Okay, great. Thank you.
16 Sorry.

17 MS. MOHR: Yeah, no problem.

18 Regarding the outcomes that are being
19 studied, as I mentioned, one of them. Yes. Sorry,
20 there's another question.

21 DR. LEWIS-HALL: Yeah, I just had one quick
22 one. I guess Ellen spirited it. Are any of these

1 facilitated caregivers? And by that I mean, there
2 may be like the Arvind Eye Care Center in India
3 where, you know, young women out in remote villages
4 are using the technology themselves to facilitate
5 others. Or is this all the individual that is
6 affected is facilitated?

7 MS. MOHR: It varies a lot and what I can
8 say very preliminarily, but what we are seeing is
9 that the importance of potentially having a person
10 there to work with the person that is interacting
11 with the technology is very important. So for
12 example, we have a study that's using what we call
13 mHealth specialists that are there or peer
14 navigators are often a very important component of
15 this.

16 So a lot of our studies are focusing, just
17 as our portfolio in general, on those outcomes that
18 are really important for patient's health status and
19 well-being, representing 90 percent of our studies.

20 I did want to focus on another study and
21 this study I selected specifically because in
22 addition to it being completed and finding some very

1 good findings, this study has been awarded a
2 dissemination and implementation award. So this
3 particular study was looking at the use of
4 telemedicine for delivery of care for people with
5 Parkinson's disease in their home. It was a
6 randomized controlled trial with 200 patients and it
7 spanned a lot of the United States. It found that
8 telehealth was feasible. There are high levels of
9 satisfaction and there were no differences in
10 quality of life. The study has now been awarded a
11 dissemination and implementation award. And
12 basically what this award is doing is it's allowing
13 the study to expand the reach to rural areas.

14 Yes. Sorry.

15 DR. McNEIL: [Off microphone] -- a little
16 bit more about what the telehealth vehicle was.

17 MS. MOHR: Yes, it was actually, I'm sorry,
18 I'm just trying to go through this really quickly,
19 but it was telemedicine. So it was a link through,
20 I think it was Blue Jeans that they were using on a
21 web portal in the patient's home to connect with a
22 neurologist.

1 DR. McNEIL: I'm sorry.

2 MS. MOHR: So it's a consult.

3 UNIDENTIFIED SPEAKER: [Off microphone.]

4 MS. MOHR: Oh, it's consultation with a
5 doctor, right. Yeah.

6 And so now what they're hoping to do with
7 this study is to reach a broader array of population
8 with racial ethnic minorities, low-income, and the
9 elderly, and also to expand the intervention to
10 include multidisciplinary care to address some
11 comorbid conditions such as anxiety, depression, and
12 dementia. And the interesting thing about this
13 study is it's actually being implemented statewide
14 in the state of New York, where care is being
15 provided free of charge through a nonprofit
16 Parkinson's disease foundation. And they're also
17 looking to build national capacity by training
18 neurologists throughout the United States and Allied
19 healthcare workers in telehealth, and also in
20 Comorbid disease management. Yes, Janet.

21 DR. WOODCOCK: Thanks. Are these looking
22 at physician satisfaction as well? Because again,

1 this implementation all the way into practice, it's
2 going to be important to see how well this works for
3 the doctor as well as for the caregiver and patient
4 in my opinion.

5 MS. MOHR: Absolutely and I'm going to get
6 to that just shortly, but I do want to say that some
7 do and some don't. Yeah.

8 And so, it's also worthy to note that
9 within PCORnet we have some of our partners -- the
10 partners that we've funded in the Patient Powered
11 Research Network using telehealth, specifically
12 using mHealth component to incorporate data from
13 mHealth apps and wearable devices into the data
14 network and capturing patient generated data for
15 research.

16 Now, let me get to a couple areas that we
17 have some very interesting initiatives on. Somebody
18 mentioned our evidence maps that we've funded. We
19 did this particular slide I want to emphasize is not
20 a slide that -- I hope that you can digest, there's
21 a lot here, but I do want to say that these evidence
22 maps that we've funded are interactive. And there's

1 a link here on the slide and you can go in to our
2 website and find out more about this.

3 But what is an evidence map? Basically
4 it's a rapid, systematic review looking at other
5 systematic reviews and it's some summarizes the
6 information visually so you can help understand
7 where are the gaps in evidence, where there's a
8 concentration of evidence, where we have stronger
9 confidence in the findings, and was very helpful for
10 us to understand specifically what our portfolio was
11 doing in terms of addressing some of these gaps and
12 where we might potentially need to go in the future.

13 And some of the key findings that we came
14 away from, from this particular evidence gap is, as
15 I mentioned before, there's a lot of research in
16 this area. They looked at over 500 systematic
17 reviews that were published over seven years just in
18 mHealth for self-management of chronic disease.
19 They narrowed it down to just under 100 that we're
20 assessing the strength of evidence.

21 And then, when we overlaid our studyies
22 over this evidence map, we actually found that our

1 studies are addressing some really important gaps,
2 specifically vulnerable populations, which I
3 mentioned before. We have some studies in the
4 pediatric populations that have been understudied
5 and also the measuring of patient outcomes, which I
6 mentioned before.

7 The other thing we did was last May we
8 hosted a meeting with stakeholders and specifically
9 to look at what do they need to know from our
10 studies before the studies are published so that
11 potentially that we can improve uptake and
12 implementation. And this gets back to the point, I
13 think, Janet, that you made specifically that
14 there's been a lot of concerns about in long-term
15 adherence with technology. And we need to know a
16 lot more, not just about patient satisfaction but
17 also provider satisfaction in the experience that
18 contributes to adoption and the lack of interest or
19 sustained use and discontinuation.

20 Also you know, we fund comparative
21 effectiveness research studies, but it's not just
22 whether it works and whether it works better as

1 well. But what we really also need to report out is
2 the contextual factors that make it work. What are
3 the type of support personnel that are needed in
4 order to make it work? What training is needed and
5 those types of requirements.

6 So we have been reporting this back to our
7 investigators. We also have established some --
8 just recently some investigator communities focusing
9 on very different slices within our portfolio where
10 we have a large number of studies looking
11 specifically at addressing vulnerable populations
12 and we also -- looking at mHealth for self-
13 management of chronic disease and challenges of
14 implementing multisite telemedicine trials.

15 Now the reason why we've done this, is we
16 want to get investigators together to discuss some
17 of the common challenges, what are some of the
18 insights from conducting these studies and
19 potentially leading to a publication that highlights
20 our portfolio in these areas as well as highlights
21 some of the lessons that they've learned as a
22 community.

1 The other thing that we've done is we have
2 actually funded several engagement awards in this
3 area and this is just one where we have funded the
4 National Academy for State Health Policy and they
5 are looking at ways to better share the information
6 to potentially understand what is needed in order to
7 implement actionable telehealth research. And this
8 is ongoing.

9 So I'm sorry, this has been really a
10 whirlwind. There's a lot here in the portfolio, a
11 lot that I didn't get to and I don't want to be too
12 didactic, but people did ask specifically for these
13 gaps. And this just shows some of the gaps that
14 we've found in recent systematic reviews that we
15 have not addressed that well with this portfolio.
16 Specifically head-to-head trials of mobile apps,
17 maternal and child care.

18 While we do have one study, there's more
19 that can be done on looking at management of serious
20 pediatric conditions, specifically child adolescent
21 suicide and some of the other things that apps have
22 great potential for that we don't have a lot of

1 studies.

2 That being said, this does not say that
3 this is the most important area for us to be
4 investing in the future. We need to talk with
5 stakeholders and weigh these gaps with the
6 importance of other areas that we could potentially
7 invest in.

8 And with that I will turn -- well, I do
9 want to thank all of my colleagues. I have --
10 there's a lot of people that I've been working with
11 and just a great staff that I work with at PCORI for
12 this. So thank you.

13 And onto the questions and I don't know
14 what order, but --

15 DR. GOERTZ: I think we're starting with
16 Sharon then, Gail, then Kara.

17 DR. LEVINE: Just do you know if the
18 Parkinson's work is connected to ParkinsonNet, the
19 international -- because they tapped into the
20 International ParkinsonNet mobile telehealth
21 community?

22 MS. MOHR: You know, I would be surprised

1 if not, but I don't know the exact answer to that.
2 I can find out.

3 MS. HUNT: Yeah, I'm sort of following up
4 on Janet's question do we -- we've learned, you
5 said, from a number of these projects that perhaps
6 they were not looking at the providers satisfaction
7 with the technology, focusing really more on the
8 patients.

9 So because we've learned this is an issue,
10 when we look at doing future projects, can we build
11 in to the RFP that that's something that needs to be
12 looked at for the future? That they need to look at
13 that. And actually, I think that's going to be true
14 of many of PCORIs, if not all of PCORI's future
15 projects that we build in this issue of provider
16 satisfaction with whatever the outcome is?

17 MS. MOHR: Yeah, I think that's a very
18 important point. And what I can say is after our
19 stakeholder meeting that we had in May, all the
20 projects that we funded in this particular area
21 during the sort of negotiation period, contract
22 negotiations, this is a message that we convey to

1 people. And so, it is part of some of the
2 negotiations that we have with our projects saying,
3 you know, this is what we hear from stakeholders.
4 How are you building this into your project?

5 DR. GOERTZ: Kara.

6 DR. AYERS: Yes, so we know that some
7 people don't use these technologies because they may
8 not be tech savvy, but we also know that some people
9 may not use them because they're not accessible. So
10 I was wondering, is there any reporting out or is it
11 something that's discussed in the investigator group
12 about accessibility?

13 And just another reason why I'm thinking of
14 this is, you know, if they are successful and they
15 do move to practice, you know, then they would be
16 required to be accessible. So, and I'm thinking of
17 like screen readers and for patients who are deaf
18 and other modalities of accessibility.

19 MS. MOHR: Yeah. So you're thinking more
20 about more -- yeah, that I don't know about, but I
21 think that's a really important point and something
22 to be thinking about.

1 DR. GOERTZ: Barbara then Larry.

2 DR. McNEIL: Okay, that was a terrific
3 presentation. So with regard to cancer and the lack
4 of enough data in that portion, are you thinking
5 about studying more in virtual visits?

6 MS. MOHR: Yeah, that's really the area
7 that we don't have anything in. We have more in
8 symptom management.

9 DR. GOERTZ: Larry.

10 MR. BECKER: This is amazing. I mean,
11 there's so much richness to this. I wonder if we
12 have thought about pulling it all together into a
13 series of toolkits, CME-kinds of things, seminars
14 for various audiences to help communities, insurers,
15 physicians, all the different stakeholders, prepare
16 them educate them so that these things can be most
17 effectively utilized.

18 MS. MOHR: Yeah. So I think there's a two-
19 prong strategy that we have going on here. Like I
20 said, the engagement has made three awards in this
21 area with some of that intent. And I can also say
22 that for example, one of the webinars that we have

1 with the investigators is focusing on challenges of
2 implementing multi-site telemedicine studies.
3 Really then you get into scope of practice, issues
4 related to regulatory changes across the state. We
5 have some studies that are spanning, like I said, 20
6 different states and they're running into all sorts
7 of barriers and coming up with really interesting
8 solutions.

9 So hopefully developing a blueprint is what
10 we're hoping with these investigators. And we had
11 our discussion just last week and people are pretty
12 excited about that idea.

13 DR. GOERTZ: Thank you. Frieda.

14 DR. LEWIS-HALL: So I had two questions.
15 One is Kara -- you reminded me of this, does trust
16 come up as a deterrent and any of these sub-
17 populations in terms of the use of telemedicine
18 across the board?

19 MS. MOHR: Yeah. And so, the other Webinar
20 that we're focusing on is what we call Addressing
21 Disparities and we do see differences across
22 populations. Specifically, that tele dermatology

1 study that I talked about had a large Hispanic
2 population. They were enrolling. A lot -- not an
3 insignificant amount of people were undocumented and
4 were really concerned about privacy issues much more
5 so than we see normal concerns. So yeah.

6 DR. LEWIS-HALL: And then the second
7 question was is any of the work specifically in
8 adherence and is any of that work, in particular in
9 the monitoring, using any of the interim medication
10 and other monitoring technology?

11 MS. MOHR: Yes. We have some of those
12 studies that are ongoing. The one that I was
13 thinking about specifically was this HIV, looking at
14 HIV medications, but we have some other examples in
15 other diseases where they're looking at adherence --
16 not only in medications but also in terms of like
17 nutrition and other types of adherence to behavioral
18 change.

19 DR. GOERTZ: Great. Thank you. Gail, did
20 you still have your -- okay, are there any other
21 questions or comments? Joe?

22 DR. SELBY: So Penny, I know you know you

1 sit closer to this than most of us. And I just
2 wonder, and I've asked you this before, how's it
3 going to roll out in this kind of applies to topic
4 after topic, really. How do we know when there's
5 enough? How do we know when we've studied a
6 particular area sufficiently that we should sort of
7 wait, let things happen. I just -- 84 studies is a
8 lot of studies.

9 So I'm just wondering whether we should
10 fund another 84 in the next four or five years or
11 whether -- and what should they be?

12 MS. MOHR: Yeah. So Joe, I'm going to tell
13 you what I've already told you by email, and I'm
14 going to say this publicly here, but I do feel that
15 telehealth is a mechanism of delivery. It's a tool.
16 And so, it's a little bit different. Like if we,
17 it's almost like thinking of surgery, you know,
18 there's a lot of -- so many different variants of
19 it. It's hard to kind of put it into this big
20 bucket.

21 So, for example, if we know that we've
22 funded a study in telemental health and we've shown

1 that it's very effective in telemental health, does
2 that mean that we shouldn't fund a study that's
3 looking at medication-assisted treatment among
4 patients with, you know, substance use disorders,
5 which it's a very different population, very
6 different in terms of motivating. So I think that
7 it really, it really depends upon the context, it
8 depends upon the technology, it depends upon the
9 population, and that's the way that I look at it
10 anyway.

11 DR. McNEIL: I think that is a fantastic
12 question, Joe. And I love -- I feel like you did
13 such a wonderful presentation and it really has
14 allowed us to get a much better handle on the
15 portfolio. I think it's a great model for portfolio
16 discussions as we move forward. And I think that is
17 a question that we need to be asking every time we
18 look at the portfolio. I don't know the answer and
19 I think that you're right. I do think it'll push us
20 not to be duplicative and it'll push, perhaps I
21 hope, the field also to not be duplicative.

22 But doing this portfolio-type review allows

1 us to ask those questions and it's a huge step
2 forward for PCORI. So thank you very much for your
3 outstanding presentation. Thank you.

4 DR. GOERTZ: Thank you. Anything else,
5 Joe?

6 Thank you Penny for that excellent
7 presentation, I think we all really appreciate that
8 information.

9 We are in the somewhat unusual situation
10 being quite a bit ahead of schedule, so I've
11 actually have asked Kathleen if she wants to move
12 forward with the next item on the agenda prior to
13 our break. And so, I'd like to introduce both
14 Kathleen and Maryan Zirkle, who will be doing a
15 presentation on funds to support implementation of
16 the PCORnet common data linkage method, which is
17 going to be considered for approval.

18 And this -- we have the following Board
19 members who have notified us of their intention to
20 recuse themselves from the deliberative discussion
21 and to vote on the funding for this project. Those
22 are our Jennifer DeVoe, myself, Freda Lewis-Hall,

1 and Barbara McNeil. So if any other Board members
2 believe they should recuse themselves from this
3 discussion vote, please feel free to do so.

4 No, you can stay. Just please don't
5 participate in a conversation or vote.

6 And I will be doing the same, other than to
7 lead it. Kathleen.

8 MS. TROEGER: Certainly, thanks Christine.
9 And I was going to make sure you check with Maryan
10 also, but I see her up at the front so I think we're
11 ready to go.

12 As Christine mentioned, the next agenda
13 item is the voting item, which we'll review a
14 proposal for common data linkage within PCORnet.
15 Joe referred to this earlier, I think we've seen a
16 lot of information about the progress within PCORnet
17 and as some of the discussion has pointed out the
18 importance of then moving toward linkage and being
19 able to get really better clarity with the data.

20 I want to thank Maryan Zirkle for her work
21 on this initiative which continues PCORI's
22 commitment to optimize linkage and that is really

1 the ability to connect information between patient
2 records within an EHR and their insurance claims.

3 We can go to the first slide. This has
4 been a long-term -- click, click? Well, when we get
5 to the next slide, you'll see that this has been a
6 long-term initiative and focus of PCORI to really
7 fund to this work through PCORnet. The effort has
8 been followed closely over the last few years by the
9 RTC. This proposal that you're about to hear from
10 Maryan has been reviewed and approved by the RTC.
11 It was approved for funding in the April 2019
12 meeting for up to \$2 million budgeting committed
13 from the existing \$20 million. I'm sorry, budgeting
14 committed from the existing \$10 million committed
15 2019 funds.

16 I'm going to turn the presentation over and
17 Maryan and thank her for her efforts to lead both
18 the informatics and present the details of the
19 project.

20 DR. ZIRKLE: Thank you, Kathleen. So as
21 Kathleen mentioned we provided a brief description
22 here. Basically high level dates of sort of how the

1 thinking has evolved over time in PCORnet around
2 linkage. So starting about three years ago, we did
3 focus more on obtaining complete data in PCORnet.
4 That meant linking several different sources of
5 data, together at the patient or individual level,
6 which require a lot of attention to security and
7 privacy as you know, and it's called privacy
8 preserving record linkage. So you'll hear that or
9 PPRL a lot throughout this presentation and just in
10 general when you're talking about linkage.

11 During that time, around 2016, one of the
12 major focuses that PCORI invested money in was the
13 linkage between claims and EHR data. So we funded
14 some health plans to work directly with our clinical
15 research networks, as you know, the majority of
16 those health systems bringing in electronic medical
17 record data for them to link the data and test, I
18 think, and work through the barriers and challenges
19 related to linking for particular projects and at
20 the time we called those demonstration projects.
21 After about two years of working in the weeds and
22 trying to better understand the challenges and the

1 barriers to this linkage, the PCORnet community came
2 together and realized that there needs to be a more
3 efficient way to link in a privacy preserving
4 method, rather than taking these governance and
5 technical issues as a one-off each time they had a
6 project.

7 And just so you know, sort of what we're
8 referring to, one of the demonstration projects, for
9 instance, it took about a year, the governance is
10 much more time consuming than the linkage itself,
11 but to figure out how they would even link, how they
12 even get to that point and then roughly a little
13 more than half a million dollars to essentially
14 affect this linkage. A lot of that was in kind
15 funds.

16 So in October of 2018 the PCORI approved
17 fiscal year 2019 commitment plan for the research
18 infrastructure department, which you all know,
19 oversees the development and sustainment of PCORnet
20 allowed for \$10 million for infrastructure support
21 for integration and linkage of additional sources as
22 Kathleen mentioned. And then, also for general

1 expansion of the network.

2 At the same time the PCORnet community set
3 out to identify what they were calling a common
4 linkage method instead of saying PPRL over and over
5 again. The partners would use each time they did
6 linkage or conducted research in PCORnet so that
7 they wouldn't have to run through this governance
8 issue each time. So certainly lessening the cost
9 and diminishing the time spent to have to do this.

10 So at the end of last year and then into
11 early this year, they went through a robust search
12 and review process to find a vendor and approach to
13 support this common linkage method. And then, as
14 Kathleen mentioned last month, PCORI RTC who
15 oversees the development and sustainment of PCORnet
16 recommended to the Board to approve up to \$2 million
17 in funds to support these efforts.

18 So now I'm trying to keep this out of the
19 technical components and more high level. I wanted
20 to let you all know who's actually playing a role in
21 implementing this method. And then what they'll do
22 in particular.

1 So you'll see we have three entities,
2 networks or sites, that's the clinical research
3 networks. You'll hear me say CRNs and the health
4 plan research networks, we call them HPRNs. We have
5 the selected vendor, as I mentioned. The contract
6 is pending so we can't mention the name. And then
7 the coordinating center, and it's basically the data
8 core obviously, so that would be Duke Clinical
9 Research Institute and Harvard Pilgrim Healthcare
10 Institute.

11 So for the networks and sites, basically
12 they're going to be spending a lot of time over the
13 next two years expanding or amending their existing
14 infrastructures. Certainly we don't want to
15 reinvent the wheel. We've already invested a lot of
16 money in their current infrastructure.

17 So what they'll do is build out the common
18 data model a little bit more to include tables to
19 support the data needed to do linkage. They will
20 amend their IRB approvals to allow for the transfer
21 of this data. They will actually have to create --
22 get a new IRB approval rather, to do sort of what

1 we're calling proof of concept, which is an initial
2 overlap analysis and then a table one or
3 demographics table.

4 So as Joe just showed you earlier on the
5 dashboard, we'll recreate that table after linking
6 to make sure that we do duplicate the participants
7 or patients covered in PCORnet.

8 And then lastly, they'll have to amend
9 their data sharing end use agreements again to cover
10 the transfer of the data necessary to complete the
11 linkage.

12 The vendor and with the software will
13 essentially install that software remotely at the
14 network sites and the coordinating center behind
15 their firewall to ensure the security and privacy of
16 the data. And then, also participate in ongoing
17 technical support or training for the software.

18 And lastly, the coordinating center, they
19 have the biggest lift here of all the entities.
20 They have to amend some of the querying architecture
21 and develop some new queries to help support the use
22 of more advanced or complex clearing for the linkage

1 in the future for future studies and I think that
2 you can imagine there's new data, so the queries
3 that they've already created that are reusable, they
4 have to sort of build out a little bit more to hit
5 on that data and be able to execute the query.

6 So I thought, you know, in and of itself
7 that seems reasonable, but I thought it would be
8 helpful to sort of walk you through what this really
9 means in action. So for instance, I mentioned the
10 overlap analysis, which will be the first piece of
11 this proof of concept. If you keep in mind that
12 everything in green are the CRNs or the HPRNs.
13 Everything in blue is the vendor coming into play
14 here, the software rather. And then the
15 coordinating center in purple.

16 And if you move with me from left to right,
17 in green, you'll see the CRNs and the HPRNs.
18 They'll transform their data into the common data
19 model behind the secure firewall just as they always
20 do, but now there will be the software embedded that
21 allows for the privacy preserving record linkage to
22 take place and sends encrypted data. A coordinating

1 center will also have the software in place that
2 allows them to do the same things, same thing
3 essentially and then match the secure data or
4 encrypted data on there.

5 And so, for instance, for overlap analysis,
6 the coordinating center in the purple, will release
7 a query to the CRNs and HPRNs in green. They will
8 return back encrypted data. And if you look at the
9 lower right hand corner, this will be the output
10 essentially that comes through to the coordinating
11 center so that they can look at the overlap.

12 The first column, HASH_ID is just a
13 technical term for the encrypted data. Essentially
14 that means that that's a participant in our network
15 in a CRN. The second column shows you if you have a
16 one there that they are also in another CRN and the
17 third column shows you that they are also in an
18 HPRN.

19 Now again, you'll see the second row shows
20 you that there's a participant that is in two CRNs
21 and an HPRN. Then we have to go through sort of the
22 advanced analytics to de-duplicate and identify and

1 connect the data and things of that nature. But I
2 thought this would be helpful to sort of let you
3 know how these parts are all working together.

4 And the last slide here again is just
5 highlighting where these funds would come from. The
6 approved 2019 fiscal year commitment plan as
7 Kathleen noted and I said earlier on included the
8 use of \$10 million for linkage as well as expansion
9 of the network. And as I had mentioned briefly, and
10 I think most folks know, this will significantly
11 increase the capacity to readily link data in
12 PCORnet as opposed to dealing with the one-off the
13 time, the costs that incur from that process.

14 And then lastly, this was recommended by
15 the RTC and we are here requesting approval for up
16 to \$2 million in funds to support this linkage
17 method in PCORnet moving forward.

18 DR. GOERTZ: Thank you very much, Maryan.

19 Are there any, are there any questions or
20 comments? Okay, Chris.

21 DR. FRIESE: Sorry. And this is probably
22 just my ignorance in terms of the need for two

1 coordinating centers, could you just explain why --
2 it's probably very easy but --

3 DR. ZIRKLE: Oh no, definitely. The Duke
4 Clinical Research basically deals with the data
5 related to building out the common data model and
6 Harvard Pilgrim is our analytics query fulfillment
7 and tool development group. So they use the -- they
8 create tools to use the data, but Duke actually
9 works at expanding the tables and housing the data
10 in a standardized way.

11 DR. GOERTZ: Thank you. Bob.

12 DR. ZWOLAK: This was a very nice
13 presentation and an important project. My question
14 has to do with the ability of the vendor to make a
15 product, which perfectly well preserves privacy of
16 the records. And yet on the other end of the screen
17 would be able to identify duplicates with confidence
18 and eliminate duplication. That would almost seem,
19 if you're perfect on the way out, that it would be
20 nearly impossible to identify the duplicates.

21 DR. ZIRKLE: So I think nothing in linkage
22 is perfect. I'll say that. And I didn't get into

1 the review process, but there was a lot time spent
2 as you could see with about four or five months, we
3 had around seven applications come in for folks that
4 were out there that basically spend all their time
5 honing these types of efforts. And there was a lot
6 of detail and time put in to making sure that the
7 security and privacy was at its highest. And you
8 can imagine we have more than 100 systems, health
9 systems in PCORnet and reason why the anticipated
10 period of time to implement this as about two years
11 is because there's an extensive security review that
12 will happen on the front end here with this group to
13 make sure that that's taken into account.

14 I would say once we work through that, as I
15 mentioned, the overlap analysis is the initial piece
16 of that and then duplication as certainly a little
17 bit more advanced and enhanced. And the approach at
18 this point in time is not totally figured out. That
19 will probably take place in the six to eight months.
20 And so, we'll be coming back to folks to explain how
21 that's going to work and what they'll do. But right
22 now I wouldn't be able to tell you in detail what

1 they're going to be able to do and I could get you
2 additional information if you'd like in the future
3 as well.

4 DR. GOERTZ: Alicia,

5 DR. FERNANDEZ: Just so that we do our due
6 diligence our due role, what are the assurances
7 around the vendor keeping the data versus not
8 keeping the data?

9 DR. ZIRKLE: Oh, so again, that would be
10 something that I'd probably have to get you in more
11 detail because the technical components would
12 probably escape me. But at this point in time,
13 there is actually no keeping of the data. So it's,
14 there's use of identifiers turned into, I mentioned
15 the HASH_ID, so an encrypted sort of identifier and
16 it's basically the software because it's behind the
17 firewall, that data doesn't go anywhere that it ever
18 went before. It does exactly what it would
19 typically do. And so, there is no movement to the
20 vendor of that data. They actually just help
21 support software that encrypts it.

22 DR. GOERTZ: Thank you. Larry.

1 MR. BECKER: So I thought I heard you say,
2 and correct me if I'm wrong, that we don't exactly
3 know how this is going to work yet. And so, I mean
4 there's the possibility this is not going to work
5 because this is an idea and this will turn out to be
6 vaporware. Not that it's not worth going after, but
7 the expectation it, you know, I ran HR technology
8 for years and so how confident are you in this
9 vendor?

10 DR. ZIRKLE: Yes. So I will restate that
11 it's not that we don't know it will work. That
12 there is high confidence in this vendor and this
13 method that they've used in the past has been used
14 by several of the actual network partners in the
15 past as well as others, it's been around for a
16 really long time.

17 The piece of it that is still yet unknown
18 is the approach to de-duplication. So the overlap
19 analysis as I mentioned is, it's pretty straight
20 forward. But then once we get into a project, it's
21 the idea of making sure that the network partners
22 become familiar with that de-duplication stuff and

1 the data stays sort of attached in it's encrypted
2 format for use in the future.

3 So we have high confidence that the method
4 will work. Sorry, I misspoke there. Thank you.

5 DR. GOERTZ: Great. Thank you. Any other
6 questions or comments?

7 All right, I'm going to ask for a motion to
8 approve. Kathleen.

9 MS. TROEGER: [Off microphone.]

10 DR. GOERTZ: Okay. Thank you. Can I ask
11 each person to identify themselves just to make sure
12 that we get a before making the motion.

13 So Kathleen.

14 MS. TROEGER: Kathleen Troeger, motion to
15 approve.

16 DR. GOERTZ: Thank you. Can I get a
17 second?

18 DR. WOODCOCK: Janet Woodcock, I second.

19 DR. GOERTZ: Thank you very much. And is
20 there any further discussion or -- all right.

21 I'm going to call the question then and ask
22 for those in favor. Please raise your hand.

1 [Hands raised.]

2 DR. GOERTZ: Okay. Opposed? Abstentions?
3 Do we have any Board members on the phone?

4 Okay. Thank you. The motion carries.

5 We are now going to take a break for about
6 20 minutes. We will resume at 11:30. Thank you.

7 [Recess.]

8 DR. GOERTZ: All right, let's go ahead and
9 get started.

10 I'm going to introduce Jean, but she really
11 needs no introduction and ask her to start our
12 presentation on dissemination and implementation.
13 Give us an update.

14 MS. SLUTSKY: Thanks very much. It's a
15 pleasure to be here today and hopefully it stopped
16 raining, but maybe that's the advantage of not
17 having any windows down here is we don't know.
18 Right?

19 So I'm delighted to give you an
20 introduction to this dissemination implementation
21 project, which I hope you'll find really
22 interesting. It's in process now, but just a little

1 background about PCORI's dissemination and
2 implementation activities. You all have seen this
3 slide which talks about making sure that our
4 research findings actually get into the hands of
5 people who are making decisions about either their
6 own health or providing health care for others.

7 PCORI's dissemination implementation
8 program is charged with heightening awareness of the
9 results of our funded research and with advancing
10 those efforts to put these findings into practice to
11 improve healthcare delivery and health outcomes.
12 And this encompasses a lot of activity including the
13 transparent reporting and public release of findings
14 that is derived of our peer review of our final
15 research report. And also is charged with
16 increasing awareness of these findings among the
17 right people, so the people that the research has
18 the greatest impact on and promoting systems for
19 doctors, patients, and others to use evidence to
20 help with real life decisions and to improve care.

21 This is a relatively new program as you
22 know, under the oversight of the EDIC Strategic

1 Committee chaired by Sharon Levine and co-chaired by
2 Larry Becker. We've completed 10 funding cycles and
3 made 25 awards. So far the total PCORI investment
4 has been \$29 million and the project budgets range
5 from \$500,000 to \$2.2 million. And so far we have
6 implementation sites in 32 states.

7 This slide I particularly like because as
8 you can see, our dissemination implementation awards
9 by priority areas for the original PCORI-funded
10 research is almost evenly divided amongst our
11 research among national priority areas. And so,
12 even the Methods portfolio is well-represented. And
13 so, this slide is really illustrative that this is,
14 I guess, we could call it an equal opportunity
15 dissemination and implementation program.

16 So just to remind you the PCORI
17 dissemination implementation program funding
18 initiative now has three different initiatives. The
19 first to be implemented with limited competition of
20 the implementation of PCORI-funded research results.
21 And this provides our PCORI investigator teams the
22 opportunity to propose next steps to put their

1 findings into practice. These are limited to up to
2 about a million dollars in direct cost per project.

3 The second initiative that was implemented
4 last year is implementation of effective shared
5 decision making and approaches in practice settings.
6 And this promotes the implementation and systematic
7 update of shared decision making in private settings
8 and these are up to \$1.5 million in total cost per
9 project. And the most recent award announcement is
10 implementation of findings from PCORI's major
11 research investments.

12 And this is, was approved by the Board last
13 year and it's intended to provide a broad applicant
14 pool the opportunity to propose strategies to put
15 evidence from specific high priority PCORI
16 initiatives into practice in the context of related
17 evidence. And these are larger awards up to \$2.5
18 million in total cost per project.

19 So what you're going to hear about today
20 from Dr. Cuddeback is about a project that was
21 funded actually through our Methods portfolio called
22 Improving Diabetes Prevention Based on Predictive

1 Benefits of Treatment. The principal investigator
2 is David Kent from Tufts Medical Center. This PCORI
3 study analyzed individual patient data from 32
4 studies including the 2002 Diabetes Prevention
5 Program study to see how treatments affect different
6 groups of people. And as you can see, it was
7 published, the main results were published in *The*
8 *BMJ*.

9 The study found that the risk of developing
10 diabetes very dramatically across patients and low-
11 risk patients showed little benefit from intense
12 lifestyle modification or taking metformin. High-
13 risk patients showed significant benefit from these
14 interventions.

15 And so, the D&I project or dissemination
16 implementation project, which is in progress now is
17 incorporating the prediction model into the clinical
18 workflow in the electronic health record so it can
19 be used in shared decision making. They're
20 partnering with the American Medical Group
21 Association to implement the EHR tool at 50 clinic
22 sites at Mercy in St. Louis and Premier Medical

1 Associates in Pittsburgh.

2 So right now I'd like to introduce John
3 Cuddeback who is a project partner and he's the
4 Chief Medical Informatics Officer at the American
5 Medical Group Association. So maybe you'll be a
6 little less stuttery than me.

7 DR. CUDDEBACK: Well, we mostly just say
8 AMGA, so that and there's probably a reason for
9 that.

10 MS. SLUTSKY: Yes.

11 DR. CUDDEBACK: I really, really appreciate
12 the opportunity to be here and to work with our
13 partners at Tufts on this project. It obviously is
14 very much a team effort. So Tufts Medical Center,
15 David Kent as the principal investigator, Jason
16 Nelson, a statistician who's been developing these
17 predictive models from the data from the Diabetes
18 Prevention Program, the original study, and then
19 applying that to EHR data. Jean mentioned our two
20 provider partners who are AMGA members; Premier
21 Medical Associates in Pittsburgh and Mercy in St.
22 Louis. Each of those groups has a patient advisory

1 group for this project and we've learned a lot from
2 them, which I will talk about as we go through. But
3 Frank Colangelo, Carolyn Koenig and Todd Stewart
4 have been wonderful partners. And then our little
5 group within AMGA, our research and analytics group,
6 Elizabeth Ciemins, Jill Powelson and Rich
7 Stempniewicz.

8 So this is the team, a little bit about
9 American Medical Group Association. We're a
10 501(c)(6), a not-for-profit trade association. So
11 that means that we have organizations, not
12 individuals as our members and we are able to lobby.
13 Our advocacy is to move the healthcare system from
14 volume to value. And the idea is to align payment
15 incentives with managing population health. And our
16 advocacy group was a big part of the ACO provisions
17 that are in the Affordable Care Act. And so, we've
18 been at this for quite a while.

19 And then what we do on our program side,
20 some of which is done through 501(c)(3) foundation
21 is to help our members redesign their delivery
22 systems to manage population health. So we're

1 mostly a catalyst and the members mostly learn from
2 each other, but we act as a convener and a catalyst
3 for that process. And, of course, it's a closed
4 loop because as you have value-based payment, then
5 you have a business model that supports
6 transitioning to managing population health.

7 So it's really important that both sides of
8 that work in concert.

9 We also have the luxury of working with
10 members. Everybody that we work with actually chose
11 to join a multispecialty medical group. So that
12 gives us people to work with who are systems
13 thinkers and quality improvement comes naturally to
14 them. So it's a really great group to work with.
15 And I have just a small sample of some AMGA members
16 on here. Apologies for leaving off WakeMed and
17 Emory, I should have put them on too.

18 We have about 440 members total; 175,000
19 FTE physicians across all of our members. And you
20 can see there are a large number of large integrated
21 systems, about 40 academic centers. And then, we
22 have some smaller groups like Premier, which is

1 about a hundred physicians and then Mercy, which is
2 3,200 providers. So they range in size quite a bit,
3 but the one thing that they have in common is the
4 process of managing patient care and the interest in
5 population health.

6 A little bit about translation. Some
7 people think translation is a fairly simple linear
8 process. And, in fact, one of the sponsors for a
9 program that we have done on adult immunization came
10 to us and said, well, we've done some analysis on
11 this and we would like you through your members to
12 see if you could implement this process. Identify
13 the patients, intervene, treat, and then document
14 and report and then you're done. Right?

15 Well, it doesn't actually work that way as
16 you know.

17 And so, here's what we actually came up
18 with in our pilot program with seven of our members.
19 But we all learned a great deal. Our sponsor
20 learned a great deal and actually sponsored two more
21 larger implementations of this learning
22 collaborative. So we've now administered about 4

1 million vaccines to adults as a result of this
2 collaborative. We're also working on obesity now
3 and it's a similarly complex process and, in fact,
4 just figuring out how you build a care model for
5 obesity is really an issue. And the mixed methods
6 opportunities for research, understanding not just
7 what the numbers are showing, but then doing
8 interviews to actually understand why the numbers
9 are showing what they're showing. So those are the
10 opportunities that we have at AMGA.

11 Let me give you a quick overview of the
12 story. As Jean mentioned, this started with the
13 Diabetes Prevention Program that was published in
14 2002. It was about 3,000 adults with pre-diabetes,
15 which was at that time defined as impaired glucose
16 tolerance, but very similar to what to the
17 population that we would call pre-diabetes today.
18 And the incidence of diabetes at three years for the
19 overall population was 29 percent. Now taking
20 metformin reduced that a little bit to 22 percent,
21 and this intensive lifestyle program was more
22 effective, reduced it to 14 percent. But you'll see

1 we can be a bit more precise in targeting those
2 interventions.

3 And that comes from a population risk
4 stratification model that was developed as part of
5 the PCORI method study that David Kent and his
6 colleagues did at Tufts with one, this being one of
7 the 32 clinical trials. What has been done as part
8 of the dissemination and implementation study is to
9 adapt that for use in clinical practice.

10 So first, would this kind of information be
11 useful? That was a question we needed to answer.
12 And then how would you present the information that
13 it would be useful to both patients and clinicians
14 in shared decision making? And then it turns out
15 you have to redevelop the model using the data that
16 are realistically available in the EHR. So in order
17 to get personalized estimates at the point of care,
18 then that has to be built into the EHR, which can be
19 done in some EHRs is using some clinical decision
20 support logic that is available but is a pretty
21 heavy lift for an EHR team that has this long queue
22 of requests for improvements in the system.

1 So what we're hoping to do as part of this
2 project, and we begun, we've done begun the process
3 already is to create the model as a cloud hosted
4 smart app that can be subscribed to by any major EHR
5 that supports the standards of a FHIR, Fast
6 Healthcare Interoperability Resources, and the smart
7 apps that use those data.

8 So it is important that there were these
9 other things going on to enable this at the same
10 time. So the National Diabetes Prevention Program,
11 the CDC has been working for about 10 years on that,
12 Ann Albright has actually been an advisor to us at
13 AMGA. The growth in value-based payment gives us a
14 business model that allows this to make sense to our
15 members and allows them to be able to afford
16 investments in prevention. And, of course, the work
17 now that the Office of the National Coordinator and
18 CMS are doing on to encourage EHR standards and the
19 adoption of standards by all of the major EHR
20 vendors to enable this cloud hosted predictive model
21 to work is, is also crucial here.

22 So in 2016, our foundation began a national

1 diabetes campaign called "Together 2 Goal" and we
2 are looking to improve care for a million people
3 with Type 2 diabetes by 2021. And the results after
4 year two demonstrate that our members, when they get
5 together and work in concert, can really have a big
6 impact. More than three-quarters of a million
7 patients have had improved care, about two-thirds
8 net improvement in control on the bundle measure
9 that we're using, which is glycemic control, blood
10 pressure control, lipid management, and medical
11 attention to nephropathy. And another third have
12 been identified as having Type 2 diabetes, a new
13 diagnosis identified through screening.

14 So this is a really important part of the
15 campaign because one in four people who have Type 2
16 diabetes don't even know they have it. And among
17 Asian Americans and Hispanic Americans, it's almost
18 twice that rate. So it's really important to do
19 screening.

20 We also should note that there are more
21 than 300,000 patients who are already in control on
22 the bundle measure and had been maintained in

1 control. So just to give our members credit for the
2 work they're doing. There's 11 planks, classified
3 in terms of empowering patients, improving care
4 delivery, and leveraging information technology.
5 But let's talk about one which is conducting
6 practice-based screening.

7 And at the beginning of the campaign, we
8 surveyed our members who are participating and said,
9 "Well, which planks do you plan to adopt? Are there
10 any you don't plan to adopt?" And 31 percent said
11 they wouldn't focus on screening. Even though we've
12 seen it's pretty important. The reason is they're
13 already overwhelmed with the number of people who
14 have Type 2 diabetes, let alone pre-diabetes.

15 So pre-diabetes, elevated blood sugar, but
16 not high enough to indicate diabetes. Now, today
17 that's usually designated either fasting plasma
18 glucose or Hemoglobin A1c. As I mentioned, an oral
19 glucose tolerance test is the way it was done for
20 the DPP study, but the populations generally
21 overlap. There are a few differences, but they
22 generally are pretty close.

1 And then, the elevated risk of progression,
2 which we mentioned over three years from the DPP
3 study, is that 29 percent, 28.9, that was found for
4 the placebo arm of the DPP study. So that's 84.1
5 million Americans, more than one out of three. And
6 so, just to give you a sense of what this means in
7 terms of screening, okay, so that's going a little
8 faster than it's supposed to go.

9 So this is 5 million patients. Sometimes
10 when you drag slides in it automatically checks the
11 go ahead without waiting.

12 But at any rate -- so 5 million patients,
13 I'll try to keep it under control here.

14 Seventy percent are actually eligible for
15 screening. And then of those, you can see that
16 about 45 percent get screened in any given year.
17 About 60 percent of those have no evidence of
18 diabetes or pre-diabetes.

19 But the screening result in the diabetes
20 range you get for about groups for about 6 percent
21 of the population. And then 36 percent, you get a
22 pre-diabetes. And what that means is that there are

1 600,000 people out of this 5.1 million population on
2 whom we have data through a partnership with Optum,
3 who are missed with pre-diabetes because they
4 weren't screened and another 100,000 with a result
5 in the diabetes range who are missed. So it's a
6 very important part of caring for a population.

7 What can you learn from the Diabetes
8 Prevention Program? Well, as Jean said, you can
9 from taking metformin and intensive lifestyle, you
10 can reduce the risk substantially. But what we
11 learned from the Methods project on heterogeneity of
12 treatment effect is that you can find variables in
13 the data at the beginning of the study. So what we
14 knew about the patients as they were going into the
15 study that will allow you to stratify their risk of
16 developing diabetes. So the overall risk is 29
17 percent. The high risk quartile is a 45 percent
18 risk down to the lower risk quartile of 7 percent.

19 So the absolute risk reduction seen in the
20 DPP study stratified into quartiles for both
21 intensive lifestyle and metformin, is this graph
22 that is actually from the PCORI website from that

1 initial method study.

2 So you can see the average benefit for the
3 lifestyle intervention is 14 percent. You can get
4 double that intervention that benefit in a quarter
5 of the patients. The average benefit for metformin
6 is about half that. You can get triple the benefit,
7 triple the average.

8 So most of the benefit from metformin is
9 really just in a quarter of the patients with pre-
10 diabetes. And as you can see, there's a sort of a
11 stair step as you go down for lifestyle. Everybody
12 benefits to some extent, but some particularly.

13 So the question of will a predictive model
14 be useful? Patient focus groups, there was a lot of
15 skepticism that people would be able to interpret
16 and assimilate these probabilistic estimates. But
17 what we learned is that most of the patients who had
18 a screening result in the pre-diabetes range had
19 family members with Type 2 diabetes and virtually
20 all of them could quote the ages at which three or
21 four family members were diagnosed with diabetes.
22 So we could do a lot better than that. They were

1 already dealing with numbers, but we could give them
2 something that was personalized to their own
3 physiology.

4 The provider focus groups, while the
5 providers they want to support and encourage their
6 patients, especially for this lifestyle program,
7 which is kind of hard to stick to, but they feel
8 overwhelmed and they need to prioritize. And just a
9 quick a review of the lifestyle program at 16 weekly
10 meetings with a trained lifestyle coach, supervised
11 physical activity sessions. It can be it can be
12 personalized for ethnic diversity and all of that
13 has been worked out by the CDC. The goal is a 7
14 percent weight loss. And the program is actually
15 even paid for by Medicare now.

16 But the real problem is sticking to it and
17 the support that it takes to be able to do that.
18 And with the patient's permission our members are
19 arranging with the YMCA, when they refer a patient
20 to let the YMCA let them know how the patients are
21 doing so that the group can reach out and actually
22 encourage them because that's what it takes to make

1 it work.

2 Now, what people are mostly doing now is
3 taking A1c or fasting glucose and using a single
4 variable, but a multivariable model is a much better
5 predictor because as you can see in the lowest risk
6 quartile, 15 percent of the patients have A1cs that
7 are in the high end of the pre-diabetes range and
8 then vice versa. So that's the advantage of the of
9 the model is it's a lot better than any individual
10 parameter.

11 These are the data elements that were from
12 the DPP study that that turned out to be predictive
13 in the model, in the original model. And as you can
14 see, there's a hemoglobin A1c, fasting glucose,
15 triglycerides. But then there's also a lot about a
16 sort of body shape, height, waist circumference, and
17 waist-to-hip ratio. But as David Kent says, we need
18 models that can be used by doctors, not tailors.
19 So he really likes the fact that we were able to
20 redevelop the model for use in the EHR.

21 And we did this using a dataset with about
22 50 million patients, longitudinal data that's

1 available at Optum Labs. And only three of the
2 variables are actually the same. All of these other
3 variables are things that are typically available in
4 the EHR, but sometimes they aren't. So we do have
5 to actually make sure that the model is robust
6 against missing data. And there are imputed values
7 that you can put in if it turns out that that's not,
8 that you don't have a data element that you need.

9 So here's an example of a couple of
10 patients and you can see over on the left, we have a
11 38-year-old female who has an Alc in the low end of
12 the pre-diabetes range over on the right, a male
13 who's just a bit older, but is actually a former
14 smoker and his Alc is in the high rate, high-end of
15 the range.

16 And actually about the patient on the left,
17 we don't really actually have a smoking status. So
18 that's one of the places where we have to use the
19 imputed value. But you can see the person that you
20 think might be low-risk is actually high-risk and
21 vice versa.

22 So here's how the results are for

1 hypothetical patients are presented in the EHR at
2 Premier Medical Associates. So you've got a low-
3 risk patient. A predicted risk of progressing to
4 diabetes is 5.5 percent. And then you can lower
5 that with metformin or the lifestyle intervention,
6 but you can see that that 58 percent relative risk
7 reduction is on such a low initial risk, baseline
8 risk that the number needed to treat is 31.5. So
9 it's really you know, that's not very efficient to
10 treat that patient.

11 On the other hand, you've got another
12 patient who's risk of progressing to diabetes over
13 three years is more than half, more than one out of
14 two, and same relative risk reduction but in this
15 case, the number needed to treat is four. So it's a
16 great example of how you can actually, and these are
17 the numbers that the -- and the way they're
18 displayed, we're going to try to make them a little
19 more graphical, a little easier to assimilate.

20 But this is what's been used at Premier
21 pretty successfully because over nine months they
22 saw 670 patients with no history of diabetes and a

1 result in the pre-diabetes range, 670 patients who
2 were classified as high-risk before having the
3 estimates, 11 of those were on Metformin. Now 134
4 were started on metformin. None had been referred
5 to a diabetes prevention program. Now almost 300
6 have been referred.

7 So it's a real impact and it's exactly
8 stratified the way you would want it to be because
9 the high-risk patients, 65 percent of those, some
10 action was taken; moderate risk, 18 percent, low-
11 risk, 4 percent. And as a result of the screening
12 that they were willing to do because they could deal
13 with the results in a risk stratified way, 87
14 patients were identified as having diabetes through
15 the screening that they were doing.

16 So it's always good when you're trying to
17 do something like this to start with something where
18 there's a big potential for cost savings and
19 fortunately, pre-diabetes and prevention of
20 progression to diabetes is a great place to work
21 because the Intermountain Insurance Plan has
22 published their finding that they save \$3,500 per

1 person per year that development of diabetes is
2 either averted or delayed.

3 And just to underscore that, they say,
4 well, if we can do it for five years, we save
5 \$17,500. So they are really very, very clear about
6 that estimate.

7 The CMS Office of the Actuary is a little
8 more conservative, but they're estimating even net
9 of the program \$2,650 over 15 months for Medicare
10 beneficiaries. And that was part of the logic and
11 part of the research that allowed Medicare to cover
12 the diabetes lifestyle program. Its cost is in the
13 range of \$600, but you can imagine that if you're a
14 provider organization taking risks, you may not even
15 need to try to get paid for this.

16 It makes sense to make this kind of an
17 investment, if you can make the investment where
18 it's going to actually do the most good.

19 So the personalized estimates at the point
20 of care, Premier Medical Associates has an
21 Allscripts EHR. They had a calculator that had an
22 add-in that they already were using and so it was

1 fairly easy to implement this model.

2 Mercy on the other hand is running a very
3 large Epic implementation and they have done a
4 preliminary build using the native clinical decision
5 support logic. But it requires manual data entry.
6 And there've been a few intrepid physicians who are
7 actually using it even though it does, but of
8 course, they're using it preferentially when they
9 think they need either to convince the patient or
10 sort of to confirm their hunch. So it would be nice
11 if we could do this all the time for every patient.

12 So the alternative -- and this is what Todd
13 Stewart at Mercy suggested to us as we could, we
14 could build this, but then we'd have to test it,
15 we'd have to maintain it, and why don't you use
16 these new standards that the EHR vendors are all now
17 supporting. And it's early in the standards, they
18 are just emerging. But the idea of having a cloud
19 hosted version of the models where we only have to
20 implement it one place using these open inter-
21 operability standards is what we're working on now.

22 And I won't take you through the details of

1 all of those standards and how that's working, but
2 that is the benefit I think that we have in doing
3 this work at exactly this point in time, because we
4 can scale this up. We'll start with Mercy East,
5 which is about 45 clinics and then we'll go up to
6 all of Mercy and then hopefully to lots more AMGA
7 members and other provider organizations nationwide.

8 So that's the story. Starting with the
9 Diabetes Prevention Program, heterogeneity of
10 treatment effect methods project, all the way to
11 personalized risk estimates at the point of care;
12 and a way of thinking about this, I think, is that
13 this is using the longitudinal data we already have
14 in our EHRs to create precision medicine without
15 having to wait for genomics.

16 Thank you.

17 [Applause.]

18 DR. GOERTZ: Great. Our work is done.
19 Great. Thank you so much. Sharon first, and then
20 Barbara.

21 DR. LEVINE: Couple of questions. One,
22 this is a snapshot in time screening. So for the

1 low-risk, moderate-risk is there periodic
2 rescreening to see if they've moved and the second
3 part of the question was, you start someone on
4 metformin. What's the projection about how long
5 they stay on metformin?

6 DR. CUDDEBACK: Well, I think, Dr. Selby,
7 you're an endocrinologist by background as I
8 understand.

9 DR. SELBY: No family doctor. I studied
10 all those years.

11 DR. CUDDEBACK: Diabetes interest. Okay.
12 All right. So I won't -- I started out in clinical
13 pathology so I won't pretend to, but to two points.
14 One is the ADA standards for screening are, and it's
15 people under age 45 with overweight or obese and a
16 risk factor, and they need to be screened. If the
17 screening is negative, then they need to be
18 rescreened every three years.

19 If the screening comes in the pre-diabetes
20 range, it needs, they need to be rescreened every
21 year. And everybody over 45 should be screened at
22 least once every three years, is the recommendation.

1 And then the metformin question, I will --

2 DR. SELBY: I'll just say, I think that's a
3 bold use of metformin. It's kind of on the
4 aggressive end, but it's really worth studying and
5 Sharon, your question is right on the money. Will
6 they stay on it?

7 Brilliant presentation. Really, I loved
8 it.

9 DR. GOERTZ: Janet, was your comment on
10 that particular point? Okay.

11 DR. WOODCOCK: Yeah, so I would say
12 basically that currently Type 2 diabetes is a
13 progressive disease. And so, this showed that it
14 was delayed, but not it requires more follow-up to
15 see if it was actually prevented.

16 And actually, the threshold for whether you
17 have diabetes or not is artificial. It's just
18 established. And so, maybe some of these people
19 alter their lifestyle enough that they would never,
20 they could go off metformin, but many of them will
21 progress, and actually the natural history is that
22 you just add more drugs on top of metformin.

1 So that's how a Type 2 diabetes is treated
2 in today's world. So hopefully, you know, getting
3 some of these people hooked on lifestyle changes
4 will alter the trajectory. But if not, if they're
5 in a state of progression than they would have had
6 to be started on metformin any way.

7 DR. CUDDEBACK: Right.

8 DR. WOODCOCK: Because they'd gone over the
9 artificial threshold that had been established and
10 then eventually they might have to take other drugs.

11 DR. LEVINE: And the reason I asked the
12 question is, with this screening and the assignment
13 of a risk category, you wonder if there's, you know,
14 some clarion call that might make the notion of
15 lifestyle changes a little more pressing so that we
16 see a flattening of the curve of progression and the
17 need for lifetime, you know, lifelong metformin
18 potentially not happen.

19 DR. GOERTZ: Okay, great. Thank you.
20 Barbara.

21 DR. McNEIL: A quick question and maybe
22 this isn't the time to ask, but I was intrigued by

1 your platform in the sky. What exactly would that
2 do?

3 DR. CUDDEBACK: So the, the idea is that
4 the EHR exposes certain data elements as they -- the
5 term that uses FHIR resources, Fast Healthcare
6 Interoperability Resources. And so, basically
7 exposes those in a secure way that a cloud
8 calculator that's deployed in the cloud, can go and
9 request the patient's data for the patient you have
10 identified and say, I have this patient in front of
11 me now. We call the calculator and then it performs
12 the calculation and returns the results.

13 DR. McNEIL: I'm just naïve. Is that
14 better than having every institution make its own
15 calculator as an app to Epic or --

16 DR. CUDDEBACK: Well, there are certain
17 calculators that the vendors are incorporating, like
18 for example, the ASCVD calculator that that's used
19 in the Hypertension Guidelines today. But you know,
20 a perfect example of where we're actually working
21 with a team at Johns Hopkins who's extending that
22 calculator, but it's already implemented in its

1 current form in a whole bunch of EHRs nationwide.

2 Wouldn't it be nice if we only had one
3 place we had to change the way that calculator works
4 and everybody could get the benefit?

5 Now we're not going to change it by
6 surprise, of course, we don't want to surprise
7 people, but the point is there's a lot of testing
8 and validation that is necessary, particularly when
9 you're going and actually retrieving the data from
10 the patient's record and making sure -- one
11 interesting thing about this, is very often fasting
12 glucose values are not reflected as fasting in the
13 EHRs. So you have to look at all the glucose values
14 that were obtained in an ambulatory setting and then
15 try to figure out well which one was drawn on the
16 same day as the lipid panel?

17 So that kind of logic is the sort of thing
18 that you can put in this calculator in the sky.
19 That would be pretty difficult for everybody to
20 implement, test, and maintain in their own EHRs.

21 DR. GOERTZ: Thank you. Russ.

22 DR. HOWERTON: Well, I will give a

1 disclaimer and I really want to express an opinion
2 more than ask a question as the health system
3 representative, I should say that Wake Forest is
4 deeply involved with the AMGA and several of our
5 physicians are senior leaders at the AMGA. So you
6 can interpret this comment as you wish, but I would
7 say that the kind of work Dr. Cuddeback described
8 there is about as good as sweet spot is PCORI could
9 ever find.

10 Out in the delivery system a body like the
11 AMGA, because it is composed of members who actually
12 have to run a business, understand the challenges,
13 that thing from linear to that slide he showed, they
14 deeply understand and know how to impact at the face
15 of care. That they've won the affinity of
16 healthcare deliverers, individual physicians or
17 groups. They understand the economics of needing to
18 triage resource investment.

19 In many ways it doesn't make sense to not
20 invest in these lower risks. Why wouldn't we do
21 that? But out in the real world, it's nearly
22 impossible when you run a business.

1 I'm willing to wager that most people
2 interacting with the AMGA will have no earthly idea
3 that PCORI ever contributed anything to the
4 underpinnings of this process. And really we should
5 be happy about that. They don't ever need to know,
6 but well. I shouldn't say we should be happy, but
7 it's an example of how we can truly change society
8 if we put linchpins like that way upstream and
9 partner with entities like this, we will in fact
10 influence millions of people over time.

11 Dr. Cuddeback, thank you very much.

12 DR. CUUDEBACK: Thank you.

13 DR. GOERTZ: Thank you. Chris.

14 MR. FRIESE: Well, Russ you just stole all
15 my thunder.

16 [Laughter.]

17 MR. FRIESE: I want to underscore exactly
18 what you said and applaud you and this group.

19 Actually, the comment I was going to make
20 is building upon that, but I want us to be
21 aspirational for the next opportunity for PCORI and
22 the partnership. And so, maybe Jean and John, maybe

1 you could just think aloud with us for just 30
2 seconds. Where could we -- we've learned so much
3 from this work, where could we go next that would
4 have similar or even greater potential?

5 MS. SLUTSKY: So that's a great question.
6 And something that we've thinking about with the
7 EDIC over the past year, including supplementing,
8 you know, activities that have shown to be fruitful
9 and are ready for a much broader spread.

10 So rest assured that this is an active
11 discussion where we're trying to leverage
12 organizations like AMGA and others. And we hope to
13 bring you examples of that, just like you're seeing
14 portfolio examinations of our research investments,
15 but so that you can see them in our implementation
16 projects. And that's -- this is just one example of
17 the beginning of a project. This is a relatively
18 new one. But you can already see the potential for
19 a much larger activity.

20 DR. GOERTZ: Alicia, would you like to
21 make a quick point on that particular topic?

22 DR. FERNANDEZ: I do.

1 DR. GOERTZ: Okay, Alicia and then Bob.

2 DR. FERNANDEZ: [Off microphone] --
3 agreeing so much with Russ and Chris and just
4 thinking that this work is so fantastic. I think
5 that there is a potential for doing, for PCORI to
6 think carefully about whether there are other areas
7 in which we can do this. So the example that comes
8 to mind is not a PCORI study, but SPRINT for
9 example, where there are a lot of people where as
10 you know, additional antihypertensive, efforts
11 benefited the population as a group.

12 Now within any trial, obviously some
13 benefit more than others. There's work going on in
14 SPRINT. Is that an area that PCORI could help
15 translate into a tool that is useful like that, that
16 is the sort of type of thing that it's not only
17 PCORI studies, but the type of work that we as a
18 board and the staff need to be in dialogue with
19 because it is very important taking research into
20 practice.

21 Similarly for this particular project,
22 there is a crying need in pediatrics with a high

1 risk for diabetes. And also as you know, among more
2 ethnically diverse patient populations and perhaps
3 you had access to in your original data, I think
4 some of the parameters would change and I hope that
5 we at PCORI continue to support you and the
6 different groups that you work with in order to make
7 this even more useful and extend it to other groups.

8 DR. GOERTZ: Thank you Alicia. Bob.

9 DR. ZWOLAK: Thanks. I'd also like to
10 extend my appreciation and congratulations on that.

11 The question I had was on your slide 131,
12 where you display two different systems and two
13 different EHR, and the takeaway I had was at one
14 seemed to work well and the other had not worked
15 quite so well and I was wondering if you would
16 expound on that and also explain if there's any
17 opportunity -- if I am correct, that one didn't seem
18 to be working so well. If there's any opportunity
19 for an intervention at the PCORI or other level?

20 DR. CUDDEBACK: Well, actually this is a
21 good story, I think of evolving standards that are
22 being adopted industry-wide by EHR vendors. And I

1 think the work of the office of the National
2 Coordinator and CMS putting a little purchasing
3 power behind that actually it has been very helpful.

4 At Mercy they would have -- they sort of
5 evaluated how much work it would be. They run the
6 fifth largest Epic installation in the world. So
7 it's a big deal. It's not as big as Kaiser, not as
8 big as Cleveland Clinic, but it's pretty big.

9 And so, they would have to -- they analyzed
10 how much work they would have to do and they needed
11 a software license for a particular component in
12 order to be able to implement a model like this,
13 particularly a model that did the kind of logic that
14 finds the fasting glucose by looking for when
15 something was drawn with the lipid panel. And in
16 order to do that they said, you know, the amount of
17 effort there, what we ought to do is switch our
18 strategy to this new industry standard that is
19 evolving. And Epic is supporting the standard.
20 Cerner is supporting the standard, actually
21 Allscripts. It doesn't happen to be necessary for
22 Premier Medical Associates since they have another

1 solution.

2 But at any rate, all of the major vendors
3 are supporting these standards. So that's the real
4 opportunity here, I think, for us to build something
5 that anybody can subscribe to. And I know that it's
6 not quite that simple and there will be work to do
7 as it gets implemented because the way each data
8 element is expressed. And of course, you know this
9 from PCORnet there's a lot of work to do to actually
10 make things that uniform, but it's still much --
11 once it's done, it's done for the organization and
12 then the maintenance happens in the cloud version.

13 So that's the benefit. It's not that Epic
14 was unable or unwilling to do it, or Mercy was
15 unable or unwilling. They just said, you know, this
16 is a better long-term strategy and it's much more
17 scalable.

18 DR. GOERTZ: Thanks. Joe.

19 DR. SELBY: I'll defer to Board members,
20 but, okay. Well, John, one more time, I think the
21 reason people, one of the reasons we're resonating
22 so strongly to this is because it has such a

1 patient-centered bent to it. In other words, it
2 gets more out of data and tries to understand who
3 will really benefit and with some treatments, those
4 people who are at low-risk for low likelihood of
5 benefiting also stand a great chance of being harmed
6 by the treatment. So sometimes it's more risky than
7 taking metformin or changing your lifestyle in a
8 positive way.

9 I just, Sharon's question and Janet's
10 earlier question and comment, just make me want to
11 ask, how are you going to be able to follow these
12 people to really monitor their likelihood of staying
13 on metformin converting to diabetes? And do you
14 have a comparison group so that will actually, my
15 hunch is that this will turn out to be a really
16 effective, efficient intervention but how likely are
17 you to be able to really show that?

18 DR. CUDDEBACK: We would love to talk to
19 you about that and some of the methodologists who
20 can help us with study design, whether we -- at this
21 point where we're just doing a demonstration to show
22 that it works and it's useful and people are able to

1 and to use the information in making decisions. But
2 then I think the question of whether we should try
3 to randomize people to this predictive model or not.

4 And we do have the ability, one of the nice
5 things about the relationship we have with Optum is
6 for our members who are using an Optum population
7 health tool, we have access to their longitudinal
8 EHR data. So these are in essence, instrumented
9 practices for us, and so, we can actually follow the
10 patients relatively easily. So that part is pretty
11 straightforward. The question, of course, is the
12 study design and the methodology and what would be
13 the right way to determine the utility of this.

14 We have patient surveys that are currently
15 out at Premier. Obviously it'll take a little
16 longer for Mercy because of the way we're
17 implementing it. But at least we'll know more from
18 the patient perspective in the next three months.

19 DR. GOERTZ: Janet.

20 DR. WOODCOK: Yeah, my comment follows up
21 on some other Board members'. I as I said before,
22 my personal opinion is that PCORI ought to pick up

1 the research that is positive, that is done, move it
2 to the next level and have an overt plan to do this
3 and here it isn't even done yet as you said, as Joe
4 just said.

5 So then there'd be able to be a plan to
6 implement and practice and evaluate the impact and
7 publish that, as well, so that there is a sort of
8 train of moving pieces and you can actually show.
9 That would require, I think, thinking through more
10 broadly how do you actually set all this up? Okay.
11 So you have done all this work over the five years
12 or whatever and you've gotten all this research,
13 which of it is actually implementable in practice in
14 theory.

15 But actually to do it as you said, is
16 another giant step to take. You have to do the
17 implementation work on the ground and then try to
18 implement it and then evaluate it. What effect has
19 it actually had? And I do think all the research
20 that PCORI does, should be on that track and that
21 will require, I think more planning and some very
22 overt goal-oriented activities.

1 MS. SLUTSKY: So if I could just briefly
2 speak to that. You're absolutely right. And so,
3 all of our dissemination and implementation
4 activities have common data elements that need to be
5 captured throughout the project, including fidelity
6 to the original intervention and study results so we
7 can make those criteria available to the Board.
8 We've shared them with the EDIC, we absolutely agree
9 that we should -- we need to capture that
10 information across our implementation project.

11 DR. WOODCOCK: No, I guess what I'm saying,
12 Jean, is we've got to think of the research that's
13 been done. The exploratory research is a giant
14 funnel. Okay. Then sometimes you find things that
15 are actually startlingly effective or whatever or
16 appear or they might be, then it ought to get into
17 this next stage which is the stage of can it
18 actually be implemented widespread and not a group
19 of true believers. Okay? But in the healthcare
20 system and private practice and this and that and
21 the other thing.

22 We ought to evaluate that to see if it

1 actually works is what -- and that ought to be the
2 objective in my mind, overall, is that this research
3 is evaluated and if it isn't ripe or it isn't
4 effective enough, then discard it and the other
5 pieces really put resources against making them
6 happen.

7 DR. GOERTZ: Joe wanted to comment and then
8 Russ.

9 DR. SELBY: Speaking directly to Janet's
10 point, sometimes we tend to think about you do the
11 CER and then you do the dissemination because you
12 know it's the right thing to do. But in many cases,
13 and particularly here where this didn't exactly come
14 straight out of a trial that came out of a
15 reanalysis of a trial. It's kind of one step
16 removed, the DPP was anything but a pragmatic trial.
17 And so, sometimes as we're doing dissemination,
18 there's a critical need to actually make that a CER
19 study in the real world as well, much more pragmatic
20 than the original.

21 I just wanted to say one other thing, which
22 is that Evelyn Whitlock, when she was here, launched

1 a program called PASS where we engaged people from
2 Tufts in looking at a number of other studies.
3 We've shown this to you before, but there's nothing
4 like an example like John's to just bring out the
5 meaning of this.

6 There's a great paper in *The BMJ* about how
7 this should be done, you know, and in nearly every
8 trial it should be done and in many times a
9 population approach would dictate that you use those
10 trial results in a high-risk subgroup and that you
11 really have a different conclusion and a strategy
12 for the low-risk people.

13 MS. SLUTSKY: I just want to also add that
14 this didn't go straight from the original Methods
15 study to this dissemination implementation study.
16 There was an interim step with a stakeholder-
17 researcher meeting that looked at the modeling and
18 the prediction. So it was -- this is -- we're just
19 showing you one part of the process.

20 DR. GOERTZ: Great. Thanks Russ.

21 DR. HOWERTON: Just following up on
22 Alicia's comments about SPRINT and highlighting the

1 need for convener organizations like the AMGA.

2 We at Wake Forest, we're proud to be the
3 host of SPRINT and have lead PIs there and almost
4 everyone is aware of the intellectual work in SPRINT
5 and the whole Wake Forest family. And you might
6 think that the disseminated primary care fleet at
7 Wake Forest was acting in accordance to SPRINT. But
8 for those of you not familiar with the delivery
9 system, nothing could be further from the truth.
10 And without the kind of work with an intermediary
11 like this, I think we're somewhere in that 17 year
12 cycle of transmission. And this is exactly the kind
13 of work that leads to change at the face of care of
14 the delivery system.

15 DR. GOERTZ: Thank you. And David.

16 DR. MEYERS: Thank you. And I'm sorry I
17 wasn't here to introduce myself. I'm David Meyers
18 and I serve as the representative from the Agency
19 for Healthcare Research and Quality. And we're
20 grappling with some of the same issues. And so, I
21 applaud the presentation and the work that PCORI is
22 doing in D&I and this needs to continue, but I was

1 somewhere with Joe and Janet, that building into
2 PCORI's system, how to bring this back into the
3 research pathway as well, and that's not necessarily
4 this project's original goal.

5 This was the SPREAD project and it should
6 be allowed to go, but to be able to partner with
7 AGMA or one of their partners to bring it back to a
8 different level of research that would let us know
9 what we're learning here. Not just about
10 dissemination but about the science behind this and
11 I actually, I'm a little concerned that the original
12 premise that using the three-year progression that
13 we discovered that the people at highest risk are
14 the most likely to benefit in a short period of
15 time, is actually not the most important patient-
16 centered question.

17 What if those middle risk people, if given
18 this now, actually have the greatest long-term
19 chance of avoiding going on to diabetes and we'd
20 miss them if we run down this track without ever
21 looking at the other research questions that this
22 important work will generate.

1 DR. GOERTZ: Janet, did you have -- okay,
2 Russ.

3 DR. HOWERTON: Well, I appreciate that
4 perspective, but in the real world and also as a
5 proxy, the people who are members of the AMGA have a
6 follow-up method in that they are almost all in
7 risk-based contracts for which there's financial
8 reward if they impact this cost of care.

9 I'd be surprised if they'd randomize many
10 people if they had any thought that it actually
11 would impact the cost of care and if you comment on
12 the brokenness of the healthcare system in America,
13 transmission of value across decades is not
14 financially incented in this model. We are rewarded
15 for the claims cost in that year and we're going to
16 be challenged if it's a \$600 intervention that's got
17 an 8 to 10 year pay off because we don't know if
18 that patient will be attributed to us in that future
19 time.

20 So that question may need to be studied,
21 but I'm not sure that's going to be as easy to study
22 in the cohort that is the AMGA where we're

1 struggling to make a value-based risk model business
2 case, which we believe is good for society as is.

3 DR. GOERTZ: Thank and thanks to both Jean
4 and John for such an excellent presentation. As you
5 can tell, you've definitely caught the interest of
6 the Board and we're excited about where this will
7 lead next. So thank you very much.

8 DR. CUDDEBACK: Thank you.

9 DR. NORQUIST: Okay, so now we would have
10 the public comment period, but as no one is present
11 or waiting on the line, we will not be initiating
12 our public comment period. We always welcome your
13 feedback at Info@PCORI.org or through our website,
14 PCORI.org.

15 So before we get ready to adjourn, Joe,
16 I'll turn it back to you to see if you have any
17 comments.

18 DR. SELBY: No. It was a good morning and
19 thanks again to John and also to Penny for their --
20 and Maryan, for the presentations and we'll have the
21 Strategy Committee meeting tomorrow.

22 DR. NORQUIST: So any further comments and

1 then I'll close us out.

2 Let me close by thanking those who joined
3 us today. A reminder, all material was presented to
4 the Board today will soon be available on our
5 website. Today's Webinar was recorded and the
6 archive will be posted within a week or so. We
7 always welcome your feedback at Info@PCORI.org or
8 through our website. Thanks again and have a good
9 day.

10 [Whereupon, at 12:28 p.m., the Board of
11 Governors meeting was adjourned.]

12

13

14

15

16

17

18

19

20

21

22